

DEPARTMENT OF HEALTH AND HUMAN SERVICES

Eighteenth Meeting  
of the

**SECRETARY'S ADVISORY COMMITTEE  
ON  
GENETICS, HEALTH, AND SOCIETY  
(SACGHS)**

+ + +

**Friday  
March 13, 2009**

**– VOLUME II –**

+ + +

Hubert H. Humphrey Building  
200 Independence Ave., SW  
Washington, DC

## PARTICIPANTS:

## Committee Members

Committee Chair**Steven Teutsch, M.D., M.P.H.**

Chief Science Officer

Los Angeles County Department of Health

**Mara Aspinall, M.B.A.**

Senior Advisor

Genzyme Corporation

**Sylvia Mann Au, M.S., C.G.C.**

Hawaii State Genetics Coordinator

Genetics Program

Hawaii Department of Health

**Paul Billings, M.D., Ph.D., F.A.C.P., F.A.C.M.G.**

President and Chief Executive Officer

CELLective Dx

**David Dale, M.D.**

Professor of Medicine

Department of Medicine

University of Washington

**Gwen Darien**

Director

Survivor and Patient Advocacy

American Association for Cancer Research

**Rochelle Dreyfuss, M.A., J.D.** [Not present]

Pauline Newman Professor of Law

New York University School of Law

**James P. Evans, M.D., Ph.D.**

Professor of Genetics and Medicine

Director of Clinical Cancer Genetics

and the Bryson Program in Human Genetics

Departments of Genetics and Medicine

University of North Carolina at Chapel Hill

**Andrea Ferreira-Gonzalez, Ph.D.**

Professor of Pathology

Director, Molecular Diagnostics Laboratory

Virginia Commonwealth University

**PARTICIPANTS** *(continued)*:**Kevin T. FitzGerald, S.J., Ph.D., Ph.D.**

Dr. David P. Lauler Chair in Catholic Health Care Ethics  
Research Associate Professor  
Department of Oncology  
Georgetown University Medical Center

**Julio Licinio, M.D.**

Professor and Chairman  
Miller School of Medicine  
University of Miami  
Department of Psychiatry and Behavioral Sciences

**Barbara Burns McGrath, R.N., Ph.D.**

Research Associate Professor  
School of Nursing  
University of Washington

**Samuel Nussbaum, M.D.** [Not present]

Executive Vice President  
Clinical Health Policy  
Chief Medical Officer  
WellPoint, Inc.

**Charmaine Royal, Ph.D.** [Not present]

Associate Research Professor  
Institute for Genome Sciences and Policy (IGSP)  
Duke University

**Joseph Telfair, Dr.P.H., M.S.W., M.P.H.**

Professor  
Public Health Research and Practice  
Department of Public Health Education  
University of North Carolina at Greensboro

**Sheila Walcoff, J.D.**

Partner  
McDermott, Will & Emery, LLP

**Marc S. Williams, M.D., FAAP, FACMG**

Director  
Clinical Genetics Institute  
InterMountain Healthcare

**Paul Wise, M.D., M.P.H.**

Richard E. Behrman Professor of Child Health and Society  
Stanford University

PARTICIPANTS *(continued)*:

## Ex Officios

Administration for Children and Families**Naomi Goldstein, Ph.D.**

Director

Office of Planning, Research and Evaluation

Agency for Healthcare Research & Quality**Gurvaneeet Randhawa, M.D., M.P.H.**

Medical Officer

Center for Outcomes and Evidence

Centers for Disease Control and Prevention**Katherine Kolor, Ph.D., on behalf of Muin Khoury, M.D., Ph.D.**

Director

National Office of Public Health Genomics

Centers for Medicare and Medicaid Services**Barry M. Straube, M.D.**

Chief Clinical Officer

Director

Office of Clinical Standards and Quality

**Jeffrey Roche, M.D.**

Medical Officer

Coverage and Analysis Group

Office of Clinical Standards and Quality

Department of Commerce**Michael Amos, Ph.D.**

Scientific Advisor

Chemical Science and Technology Laboratory

National Institute of Standards and Technology

Department of Defense**Daniel J. Wattendorf, LtCol, USAF, MC**

Deputy Chief, Medical Innovations

Office of the Air Force Surgeon General

Department of Energy**Peter Kirchner, M.D., on behalf of Daniel Drell, Ph.D.**

Biologist, Life Sciences Division

Office of Biological and Environmental Research

Department of Labor**Amy Turner, on behalf of Thomas Alexander, J.D.**

Chief of Staff

Employee Benefits Security Administration

PARTICIPANTS *(continued)*:

Department of Veterans Affairs

**Douglas Olsen, Ph.D., R.N., on behalf of Ellen Fox, M.D.**

Director

National Center for Ethics in Health Care

**Sherrie Hans, Ph.D.**

Program Director, Health Policy Coordination

Veterans Health Administration

**Doug Olsen, Ph.D., R.N.**

Nurse Ethicist

National Center for Ethics in Health Care

Veterans Health Administration

Equal Employment Opportunity Commission

**Sharon Alexander, on behalf of Stuart Ishimaru, J.D.**

Acting Chair

Equal Employment Opportunity Commission

**Kerry Leibig, J.D.**

Senior Attorney Advisor

Office of Legal Counsel

Federal Trade Commission

**Sarah Botha, J.D.**

Attorney

Bureau of Consumer Protection

Division of Advertising Practices

Food and Drug Administration

**Alberto Gutierrez, Ph.D.**

Deputy Director for New Product Evaluation

Office for In Vitro Diagnostic Device Evaluation and Safety

Center for Devices and Radiological Health

Health Resources & Services Administration

**Denise Geolot, Ph.D., R.N., FAAN**

Director

Center for Quality

National Institutes of Health

**Alan E. Guttmacher, M.D.**

Deputy Director

National Human Genome Research Institute

Office for Civil Rights

**Robinsue Frohboese, J.D., Ph.D.**

Principal Deputy Director

PARTICIPANTS *(continued)*:

Office for Human Research Protection

**Michael A. Carome, M.D.**

Associate Director for Regulatory Affairs

Office of the Secretary

**Michael A. Carome, M.D.**

Office of Public Health and Science (Acting Ex Officio)

## SACGHS Staff

Executive Secretary

**Sarah Carr**

NIH Office of Biotechnology Activities

**Cathy Fomous, Ph.D.**

Senior Health Policy Analyst

NIH Office of Biotechnology Activities

**Kathryn Camp**

Senior Health Policy Analyst

NIH Office of Biotechnology Activities

**Abbe Smith**

Capital Consulting Corporation

**Darren Greninger**

Senior Health Policy Analyst

NIH Office of Biotechnology Activities

**Tara Hurd**

Program Assistant

NIH Office of Biotechnology Activities

**Andrea Collins**

Committee Management Office

Division of Extramural Activities

National Cancer Institute

**Phyllis Frosst, Ph.D.**

**Barry M. Straube, M.D.**

Chief Clinical Officer

Director, Office of Clinical Standards and Quality

PARTICIPANTS *(continued)*:

## Speakers

**Mara Aspinall, M.B.A.**

Senior Advisor  
Genzyme Corporation

**Robert Epstein, M.D.**

Chief Medical Officer  
Medco

**Barry Straube, M.D.**

Chief Medical Officer  
Director  
Office of Clinical Standards and Quality  
Centers for Medicare and Medicaid Services

**Bruce Quinn, M.D., Ph.D.**

Senior Health Policy Specialist  
Foley Hoag, LLP

**Samuel Nussbaum, M.D.**

Executive Vice President  
Clinical Health Policy  
Chief Medical Officer  
WellPoint, Inc.

**Joanne Armstrong, M.D., M.P.H.** [via telephone]

Senior Medical Director  
Aetna

**Michael Critelli, J.D.**

Retired Executive Chairman  
Pitney Bowes

**Richard Luetkemeyer, M.D.**

Medical Director  
Population Health Quality Quest for Health  
Assistant Medical Director  
Caterpillar, Inc.

## CONTENTS

	<u>Page No.</u>
<b>Opening Remarks</b>	
Steven Teutsch, M.D., M.P.H. ....	10
<u>Updates from SACGHS Ex Officios:</u>	
<b>Food and Drug Administration</b>	
Alberto Gutierrez, Ph.D. ....	14
<b>Health Resources and Services Administration</b>	
Denise Geolot, Ph.D., R.N. ....	21
<b>Centers for Disease Control and Prevention</b>	
Katherine Kolor, Ph.D. ....	25
<b>Office for Human Research Protections</b>	
Michael A. Carome, M.D. ....	32
<b>Department of Defense</b>	
Daniel Wattendorf, LtCol, USAF, MC ....	37
<b>Department of Commerce</b>	
Michael Amos, Ph.D. ....	42
<u>Public Comments:</u>	
Daryl Pritchard ....	46
 <i>ROUNDTABLE ON GENETICS AND THE FUTURE OF THE HEALTHCARE SYSTEM</i>  	
<b>Roundtable Purpose and Overview</b>	
Mara Aspinall, M.B.A. ....	55
<b>Overview of Key Issues in Healthcare Reform</b>	
Robert Epstein, M.D. ....	60
<b>Question-and-Answer Session</b> .....	69
<b>Public Health Payer Perspective</b>	
Barry Straube, M.D. ....	81
Bruce Quinn, M.D. ....	93
<b>Question-and-Answer Session</b> .....	103
<b>Perspective of Private Health Insurance Companies</b>	
Joanne Armstrong, M.D., M.P.H. <i>[via speakerphone]</i> .....	115
Samuel Nussbaum, M.D. ....	129

## CONTENTS *(continued)*

	<u>Page No.</u>
<b>Question-and-Answer Session</b> .....	138
<b>Perspective of Employer-Based Health Insurance Plans</b>	
Michael Critelli, J.D. ....	143
Richard Luetkemeyer, M.D. ....	150
<b>Question-and-Answer Session</b> .....	160
<b>Committee Discussion with Roundtable Participants</b> .....	168
<b>Summation of Key Roundtable Issues and Next Steps</b>	
Mara Aspinall, M.B.A. ....	227
<b>Concluding Remarks</b>	
Steven Teutsch, M.D., M.P.H. ....	234
<b>Adjournment</b> .....	241

## 1 PROCEEDINGS

2 [8:32 a.m.]

## 3 Opening Remarks

4 Steven Teutsch, M.D., M.P.H.

5 DR. TEUTSCH: Welcome back. I think we had a  
6 terrific day yesterday. I want to thank the Committee  
7 for the excellent discussions. I think we touched on a  
8 lot of important issues. Clearly, we will need to follow  
9 up on a lot of them.

10 Before I begin today, though, I have a  
11 ceremonial function to perform on behalf of the secretary  
12 to be named later. I'm honored to do it, but also  
13 reluctant, because it really means that we will be saying  
14 farewell to two members of this committee who have  
15 contributed an enormous amount.

16 Let me begin. I want to present a certificate  
17 to Kevin.

18 DR. FITZGERALD: I'm getting it just in time to  
19 leave.

20 DR. TEUTSCH: Yes. Don't let the door hit you.

21 [Laughter.]

22 DR. TEUTSCH: For outstanding vision and

1 significant contributions as a member of the Secretary's  
2 Advisory Committee on Genetics, Health, and Society,  
3 which has helped lay the groundwork for the effective use  
4 of genetic information in improving health and  
5 transforming medical care in our country and around the  
6 world.

7           You just got back from India, so you have been  
8 working, literally, around the world. Kevin, sincerely,  
9 you have done an enormous amount on this committee in  
10 terms of keeping us on the straight and narrow  
11 bioethically but also for all the leadership you have  
12 provided on many of the reports. Certainly, the  
13 Pharmacogenomics report was an enormous effort, as was  
14 the Large Populations study. We aren't going to actually  
15 miss you because we are going to keep calling on you.

16           DR. FITZGERALD: That's what I was afraid of.

17           [Laughter.]

18           DR. TEUTSCH: Thank you so much. It has been  
19 terrific. We know you're right here in Washington, you  
20 brought in the Georgetown mafia yesterday.

21           [Laughter.]

22           DR. TEUTSCH: Thank you so much.

1 [Presentation of certificate.]

2 DR. FITZGERALD: Thank you.

3 [Applause.]

4 DR. TEUTSCH: The other one whom we will be  
5 bidding adieu to is Dr. Joseph Telfair.

6 Joseph, we want to thank you as well. We are  
7 going to miss you because you have been bringing a  
8 tremendous amount of knowledge and insight, both from a  
9 public health perspective and from a consumer  
10 perspective. We very much appreciate all the work that  
11 you have done on the Large Population studies, and many,  
12 many others.

13 I could read this. It actually says the same  
14 thing as the other one, but that's okay, I'll just smile.

15 As you know, you have begun a major effort here  
16 to help us move the public health agenda forward in  
17 genetics and genomics. It is going to continue to be a  
18 large amount of work. We're going to be counting on you  
19 as well.

20 Thank you so much for all that you have done.

21 DR. TELFAIR: You're welcome.

22 DR. TEUTSCH: We wish you well.

1 DR. TELFAIR: Thank you.

2 DR. TEUTSCH: Thanks so much.

3 [Presentation of certificate.]

4 [Applause.]

5 DR. TEUTSCH: For those of you who are new  
6 members, you know that once you are in, you are never  
7 out.

8 MS. ASPINALL: I keep trying to leave, and they  
9 keep pulling me back in.

10 DR. TEUTSCH: It is extremely gratifying that  
11 we have such a deep level of expertise on the Committee,  
12 we can't quite let go.

13 We're going to pick up where we left off  
14 yesterday in hearing from our ex officios. As many of  
15 you know, when we met last, we had anticipated that we  
16 would have a new secretary named. We actually had  
17 prepared a progress report, which all of you, I believe,  
18 have seen. It is also in your notebooks. It captures  
19 where we were, some of our thoughts on the new priority-  
20 setting process that we had completed, and a set of the  
21 recommendations that we had made, as well as highlighting  
22 a few that we thought were ready for action.

1           That's in your notebooks. Obviously, we are  
2 still waiting for a lot of the appointments to be  
3 finalized. We will proceed with working with the  
4 Administration as they get named.

5           Obviously, time doesn't wait. We have been  
6 asking our ex officios to talk about how they are  
7 responding to the new environment, particularly the  
8 Recovery Act. We want to turn back to them and see if we  
9 can't find out from the agencies that we weren't able to  
10 hear from yesterday about where they are.

11           Alberto, are you ready? Alberto Gutierrez is  
12 just joining us from the FDA.

13           Actually, I have been very neglectful. We  
14 actually have a new member, Sam Nussbaum. We introduced  
15 you yesterday, so everybody knows who you are, but we are  
16 absolutely delighted that you are here as part of the  
17 Committee. As those of you who have looked ahead on the  
18 agenda know, we were already putting Sam to work before  
19 he even arrived. So, thank you, Sam.

20           Alberto.

21                           **UPDATES FROM SACGHS EX OFFICIOS**

22                           **Update from the Food and Drug Administration**

1                                   **Alberto Gutierrez, Ph.D.**

2                                   [PowerPoint presentation.]

3                                   DR. GUTIERREZ: Good morning. I'm going to  
4 talk from here. I'm not used to talking to people behind  
5 me. I'm obviously replacing Steve Gutman, and I'll have  
6 a few things to say about that later on.

7                                   We were asked to give you a quick mission  
8 statement, and I wanted to just give you an idea of what  
9 the mission of the agency is. I must admit that this is  
10 a pasteurized version.

11                                  Obviously, I have excluded two major parts of  
12 the agency, which are food and veterinary drugs. I  
13 think, for this committee at least, the focus is really  
14 more on drugs and biologics, which I also seem to have  
15 excluded -- sorry about that -- and devices.

16                                  What I wanted to point out is that we have a  
17 two-fold mission. We are supposed to protect public  
18 health, but we are also supposed to promote public  
19 health. That is part of a mission that we actually take  
20 very seriously. You will see that a lot of what I'm  
21 talking about today actually goes towards the area of  
22 what we do to promote public health.

1           We also, obviously, have a part in the post  
2 market and what happens to drugs and devices when they  
3 are on the market, making sure that they continue to be  
4 safe and effective.

5           The agency has actually moved in a couple of  
6 ways to strengthen the role of genomics and its belief as  
7 to where genomics is going. Dr. Frank Torti, who is  
8 actually our acting commissioner at the moment, created  
9 the new position of senior genomics advisor. Presently  
10 that position is being filled by Liz Mansfield, who is in  
11 the back of the room. She is from our Office of In Vitro  
12 Diagnostics and is on detail to that position.

13           When the commissioner appointed Liz, he also  
14 mentioned three areas where the agency has programs that  
15 are important to genomics. The National Cancer and  
16 Toxicology Research, NCTR, actually has a program on  
17 standardization of micro array data analysis, which is  
18 very important, among others that they have.

19           There are two other offices that have programs  
20 that are fairly major. Those are the Office of Clinical  
21 Pharmacology and the Office of In Vitro Diagnostics.  
22 Most of the update that I will be giving you today is

1 really on what the Office of In Vitro Diagnostics does,  
2 but I do have a slide on what the Office of Clinical  
3 Pharmacology is doing.

4           The Office of Clinical Pharmacology looks at  
5 the issues with genomics all the way through in terms of  
6 discovery and development. They have programs such as  
7 the Voluntary Data Submission. That is a nonregulatory  
8 program in which they actually allow manufacturers to  
9 come in with data, especially genomics data. They help  
10 them decide how that is going to influence their drug  
11 development.

12           They also have a Biomarker Qualification  
13 Program, and they have programs in terms of how to speed  
14 up the development of drugs, such as the end of phase two  
15 guidance that they publish.

16           They do help out somewhat in regulation by  
17 acting as consultants for their CDAR colleagues and for  
18 the device colleagues. They help us with consults. They  
19 look at market surveillance and have a role to play in  
20 labeling and research.

21           In terms of OIVD, obviously I'm not Steve  
22 Gutman. You know him well. The good news, I guess, is

1 that you probably won't be hearing a poem after each  
2 talk.

3 [Laughter.]

4 DR. GUTIERREZ: But we will miss Steve,  
5 actually. His shoes are going to be very hard to fill.

6 Right now Don St. Pierre is the acting office  
7 director. Knowing Don, he will be moving on as soon as  
8 he can. He has already opened a search for an office  
9 director and has actually interviewed people. We expect  
10 that we will have an office director sometime this  
11 spring, I hope.

12 We have also received quite a bit of financing  
13 for this year and next year. We have created a staff of  
14 personalized medicine that is in some ways a little bit  
15 outside our current structure. They will be coordinating  
16 both outreach and internal issues that have to do with  
17 personalized medicine and genomics in our office.

18 We continue to put out guidance when we can.  
19 We continue to work on them and get them through the  
20 system. The IVDMIA final guidance is still in the works.

21 There was a lot of work done by the agency and the  
22 Department, but unfortunately we were unable to get it

1 out before the last administration ended. We are waiting  
2 for the new administration to continue the process of  
3 putting out the guidance. We also have other guidance  
4 that affect genetic testing that we continue to put out.

5 We continue to do our everyday work on CLIA-  
6 approved devices. Among them, obviously, are devices  
7 that are genetic tests, such as the influenza test.  
8 Actually, as of yesterday we approved two new HPV tests  
9 that have just come out.

10 Among our work, we have continued to have panel  
11 meetings when there are issues that are notable and need  
12 to be discussed. Some of those have been our panels,  
13 some of them actually have been drug panels. We had two  
14 panels in December. One of them dealt with a specific  
15 device for amino acids and risk of ovarian cancer called  
16 ROMA.

17 The other was more of a general issues meeting  
18 by the Oncology Drug Advisory Committee that dealt with  
19 K-RAS and all the issues that are arising with the  
20 mutations in K-RAS. There are a couple of drugs that  
21 influence it, but they discussed whether they work on  
22 people who have these mutations or not and whether it is

1 time to begin to think about label changes. Also, there  
2 were a couple of effects on ongoing trials and whether  
3 the exclusion/inclusion criteria for those trials should  
4 be changed.

5           We continue to take actions when we see devices  
6 that do not meet the definition, for example, of  
7 laboratory-developed tests. We sent a warning letter to  
8 Lab Corps and to OvaSure, and the test was removed from  
9 the market.

10           We continue to work on critical path programs  
11 that have to do with genomics with our colleagues in NCI  
12 and CDC.

13           Among those that we have actually put a lot of  
14 work and effort into is the Cancer Biomarker Consortium,  
15 in which we have been dealing with issues of  
16 biorepositories, bioinformatics, bioassay validation, and  
17 data sharing.

18           We also have an interagency task force which  
19 deals with oncology and NCI. This has three areas that  
20 we actually are particularly active on, and those are  
21 molecular diagnostics, biospecimens, and a new group with  
22 pharmacogenomics that is being formed.

1           Finally, and this one goes to one of the  
2 recommendations by this committee, we are beginning to  
3 work on a petition that Genentech filed. That petition  
4 asks the FDA to regulate all laboratory tests. We are  
5 beginning to do the groundwork of putting together  
6 background and options for the new administration to  
7 figure out how they want to proceed.

8           That is all I have. Any questions?

9           DR. TEUTSCH: Great. Thanks so much, Alberto.  
10 We obviously have a lot of work to do along with you.  
11 This has been part of this group before, so it is great  
12 to see that there is a new organization devoted to all of  
13 this.

14           Denise Geolot, can you give us an update from  
15 HRSA, Health Resources and Services Administration?

16   **Update from Health Resources and Services Administration**

17                   **Denise Geolot, Ph.D., R.N.**

18           DR. GEOLOT: Good morning. I'm Denise Geolot.

19 I'm from the Health Resources and Services  
20 Administration, which is one of the agencies within the  
21 Department of Health and Human Services. It is known as  
22 the access agency. It improves access to quality health

1 care for people who are underserved, uninsured, isolated,  
2 or medically vulnerable.

3 HRSA funds safety net providers, only 1,100  
4 grantees that support 7,000 clinics that provide primary  
5 preventive health care services in every single state and  
6 almost every community in this country, serving more than  
7 16 million low-income people.

8 In addition, we have the HIV/AIDS Bureau. The  
9 Agency's Ryan White HIV/AIDS Program provides primary  
10 care, support services, and antiviral drugs for about  
11 530,000 low-income people.

12 Within our agency we have the Maternal and  
13 Child Health Bureau. HRSA administers a range of  
14 programs for women and infants in need and children with  
15 special health care requirements. The Maternal and Child  
16 Health Bureau includes a specific focus on genetics,  
17 which I think is of interest to this committee.

18 The Heritable Disorders Program supports  
19 regional genetics and newborn screening service  
20 collaboratives. There is a national coordinating center  
21 which was established to work with regional centers and  
22 other partners to identify and address issues of

1 important regarding access to and the utilization of  
2 genetic services at the national, state, and community  
3 levels. The regional centers have as their primary goal  
4 ensuring that children with heritable disorders and their  
5 families have access to quality care and appropriate  
6 genetic expertise and information.

7           There are some community-based projects funded  
8 under this bureau that support cooperative agreements for  
9 consumer information for genetic resources and services  
10 that focus on education and community outreach.

11           There are two new projects, one Screening for  
12 Heritable Disorders in Children: The Efficacy from a  
13 Consumer Perspective, and Ensuring Access to Quality  
14 Information and Education in Genetics.

15           They have also funded a family history project  
16 which will provide a downloadable, customizable brochure  
17 that communities and specific genetic disease groups can  
18 use.

19           We also support clinician recruitment and  
20 services. The Agency strives to ensure a health care  
21 work force that is diverse, well trained, and adequately  
22 distributed about the nation. In exchange for financial

1 assistance through our National Health Service Corps,  
2 scholarships and student loans are given out. We have  
3 supported more than 28,000 clinicians who serve in some  
4 of the most economically deprived and geographically  
5 isolated communities in America over the past 35 years.

6 We support health professions workforce  
7 development. HRSA safeguards the foundation of the U.S.  
8 health care system by targeting grants to academic  
9 institutions to support post-graduate faculty retention,  
10 administering scholarships to increase staff in critical  
11 specialties such as nursing, and funding leadership  
12 development programs. About 10,000 clinicians benefit  
13 from these programs annually. I'm sure that many of us  
14 in the room have benefitted from these programs.

15 There are two reports that have been produced  
16 that I think are of interest to this group, the Genetic  
17 Counselor Workforce Training Program: "Professional  
18 Practice: The Issues Affecting Supply and Demand" by  
19 Judith Cooksey; and the "Clinical Laboratory Workforce:  
20 The Changing Picture of Supply and Demand, Education, and  
21 Practice."

22 We also have a Healthcare Systems Bureau, which

1 oversees the nation's organ and tissue donation and  
2 transplantation system, and we have a Rural Health  
3 Office, which makes health care accessible for more than  
4 60 million residents of rural America.

5 I am pleased to announce that we have a new  
6 administrator, who was appointed by President Obama. She  
7 joined us this week. Dr. Mary Wakefield, who is an  
8 expert in rural health, has recently come from the  
9 University of North Dakota School of Medicine and Health  
10 Services, where she was the director for the Center for  
11 Rural Health, as well as associate dean for Rural Health.

12 She is an expert in rural health quality,  
13 patient safety, Medicare payment policy, workforce  
14 issues, and public policy. She is well known in  
15 Washington, and I am proud to say she is a nurse.

16 DR. TEUTSCH: Thanks, Denise. Clearly, there  
17 is a lot we can do, particularly on the workforce side.  
18 Thank you for that.

19 Katie Kolor is here representing CDC. Muin is  
20 somewhere between Vancouver and Atlanta. Can you give us  
21 an update as to what is happening?

22 **Update from the Centers for Disease Control**



1 following highlights.

2           First, CDC's Office of Public Health Genomics  
3 is working in collaboration with our partners to  
4 accelerate and streamline the effective integration of  
5 validated genomic knowledge and tools into the practice  
6 of medicine and public health. Recent accomplishments in  
7 several focus areas include the following.

8           In regard to the evaluation of genetic tests,  
9 CDC's Evaluation of Genomic Applications in Practice and  
10 Prevention, our EGAPP initiative, reached important  
11 milestones in January of this year with the publication  
12 of three new evidence-based recommendation statements of  
13 the EGAPP Working Group. Steve is on that group. These  
14 recommendation statements assess the validity and utility  
15 of three cancer genetic-testing applications.

16           Also published in January were two new evidence  
17 reports and the EGAPP methods for the evaluation of  
18 genetic tests.

19           In regard to genomics translation research and  
20 programs, CDC has recently awarded over \$1.5 million per  
21 year for three years to fund five projects to conduct  
22 genomics translation research, education surveillance,

1 and policy interventions to help move evidence-based  
2 genomics applications into practice.

3           Also, CDC and NIH are working together to  
4 launch a network of research programs, the Genomics  
5 Applications in Practice and Prevention Network, or  
6 GaapNet. A paper describing GaapNet has been accepted to  
7 Genetics and Medicine for publication. An inaugural  
8 meeting is planned for October of this year.

9           In regard to family history and clinical  
10 utility, early publications are now coming out from a  
11 CDC-funded clinical trial that examined whether family  
12 history risk assessment and personal prevention messages  
13 influenced health behavior and the use of medical  
14 services. A first publication that assessed the risk  
15 beliefs across chronic diseases based on family history  
16 information was published in February in Preventive  
17 Medicine.

18           In regard to population-based genomics  
19 prevalence data, CDC published the first prevalence  
20 estimates of 90 genetic variants for a nationally  
21 representative sample of the U.S. population that  
22 includes major racial and ethnic groups. That was in

1 November. That is based on the National Health and  
2 Nutrition Examination Survey.

3           These estimates provide the foundation for our  
4 Comprehensive Databank of Human Genetic Variation in the  
5 U.S. that will serve as an important reference for future  
6 investigations, including those into the roles genes play  
7 in population-level risk for a disease and how genetic  
8 variants might contribute to health disparities.

9           Lastly, for the Office of Public Health  
10 Genomics, I wanted to highlight the personal genomics  
11 activities. Greg Feero did a wonderful job yesterday  
12 describing the December meeting that NIH and CDC,  
13 together, conducted. Also, CDC has conducted consumer  
14 and health care provider surveys on awareness and use of  
15 personal genome scans. Those analyses are underway.

16           A few items from CDC's Division of Laboratory  
17 Systems, which is working to improve laboratory practice  
18 and quality of service to clinicians and patients.  
19 First, CDC will publish a report this spring on good  
20 laboratory practices for molecular genetic testing for  
21 heritable diseases and conditions in the Morbidity and  
22 Mortality Weekly Report, MMWR, recommendations and

1 reports.

2           This document was developed based on the  
3 recommendations by the Clinical Laboratory Improvement  
4 Advisory Committee, or CLIAC, with input from both CMS  
5 and FDA. It is intended to serve as a guide for  
6 considering and implementing good laboratory practices to  
7 improve the quality of health care outcomes of molecular  
8 genetic testing for heritable diseases and conditions,  
9 and to enhance the oversight and quality assurance  
10 practices for molecular genetic testing under the CLIA  
11 regulatory framework.

12           Second, CDC is planning to develop a second  
13 MMWR document addressing good laboratory practices for  
14 biochemical genetic testing. This would be based on a  
15 CLIAC recommendation at the September 2008 meeting.

16           A CLIAC working group was formed to evaluate  
17 areas in biochemical genetic testing that need guidance  
18 for good laboratory practices and to formulate  
19 suggestions for CLIAC consideration. They will meet in  
20 June, and a workgroup report is expected at the September  
21 2009 CLIAC meeting.

22           CDC is funding and working collaboratively with

1 the Rand Corporation and professional groups to refine  
2 and pilot a framework for reporting molecular genetic  
3 test results from laboratories to clinical settings that  
4 promote both understanding of the relevant genetics and  
5 appropriate use of tests for patient care.

6           Lastly, from the Division of Laboratory  
7 Systems, CDC sponsors and supports the Genetic Testing  
8 Reference Materials Coordination Program, or GeTRM. This  
9 activity fosters coordination among the broader  
10 laboratory community to facilitate the development and  
11 characterization of publicly available genomic DNA  
12 samples and cell lines that can be used by the research  
13 and clinical laboratory community for test development,  
14 validation, proficiency testing, and quality assurance.

15           We were asked to also talk about the American  
16 Recovery and Reinvestment Act. What I can tell you there  
17 is that the efforts at HHS are being coordinated across  
18 the departments. CDC is participating in this process to  
19 address the provisions of the act, in particular the  
20 areas of prevention and wellness, health information  
21 technology, and comparative effectiveness.

22           DR. TEUTSCH: Great. Thanks, Katie. Mike, we

1 heard a little bit about what was happening in the OHRP,  
2 but I expect there is more. Mike Carome from OHRP.

3 **Update from the Office for Human Research Protections**

4 **Michael A. Carome, M.D.**

5 DR. CAROME: I'm the associate director for  
6 regulatory affairs at OHRP, the Office for Human Research  
7 Protections. I'm actually representing two offices  
8 today. The parent office of our organization is the  
9 Office of Public Health and Science, and that is an  
10 office comprised of 12 public health program offices,  
11 which include OHRP.

12 It's located within the Office of the  
13 Secretary, it's headed by the assistant secretary for  
14 health, and the current acting assistant secretary for  
15 health is Dr. Stephen Gossen, who is a rear admiral in  
16 the Commissioned Corps of the Public Health Service.

17 The Public Health Service is also located, and  
18 its command structure is, within the Office of Public  
19 Health and Science of OPHS.

20 As the nation's top public health physician,  
21 Dr. Gossen, who is the acting surgeon general as well as  
22 the acting ASH, is responsible for communicating the best

1 science, evidence, and data to the American people in  
2 order to promote healthy choices and to promote the  
3 safety and security of the American people.

4           Dr. Gossen has identified four current  
5 priorities for his Office of Surgeon General. They  
6 include disease prevention, eliminating health  
7 disparities, increasing public health preparedness, and  
8 improving health literacy. On the website for the  
9 Surgeon General are descriptions of each of those key  
10 objectives.

11           In addition to the Office of Human Research  
12 Protection, there are 11 other major program offices  
13 within OPHS. There are two regulatory offices in  
14 addition to our office, and then a series of offices that  
15 deal with various areas of public health, including the  
16 National Vaccine Program Office, the Office of Disease  
17 Prevention and Promotion, the Office of HIV and AIDS  
18 Policy, the Office of Minority Health, the Office of  
19 Women's Health, the Office of Population Affairs, and  
20 some offices that staff various advisory committees to  
21 the Assistant Secretary and the Secretary.

22           In terms of some current or recent activities

1 of OPHS that may be of interest to the Committee, we  
2 wanted to note to the Committee that there was a recent  
3 release in early January of an updated health care tool  
4 which was initially issued by the Office of Surgeon  
5 General. That is the Surgeon General's Internet-based  
6 Family Health History Tool.

7           This newer version, which is Web-based like the  
8 last one, can be used in electronic health records and  
9 personal health records, and can be easily shared with  
10 relatives and physicians because of those mechanisms.

11           Completing the Family Health History online is  
12 very simple and takes about 15 minutes. The uploaded  
13 information, for those who have privacy concerns, is not  
14 retained by the government.

15           Another initiative coming out of OPHS is the  
16 development of Healthy People 2020. Healthy People  
17 provides science-based, 10-year national objectives for  
18 promoting health and preventing disease. The first one  
19 came out in 1979 for the period 1981 to 1990. They are  
20 now developing the new Healthy People Objectives for the  
21 Years 2011 to 2020.

22           That effort is being led by the Office of

1 Disease Prevention and Promotion. For those who want to  
2 know more details about how that is being developed, you  
3 can go to the website of OPHS and click on "Office of  
4 Disease Prevention and Promotion," and there is a  
5 detailed description.

6 I would now like to turn to the Office of Human  
7 Research Protections, which many of you are familiar  
8 with. We take a lead role in promoting the protection,  
9 safety, and welfare of human subjects who participate in  
10 research conducted and supported by the Department of  
11 Health and Human Services. The office is headed by Dr.  
12 Jerry Menikoff. He joined the office in the fall of last  
13 year.

14 Our programs include assurance with compliance,  
15 which you must have as an institution if you want to get  
16 money for human subject research funded by our  
17 department. We have an IRB registration process in which  
18 IRBs that review and approve research covered by the  
19 assurances have to register with our office.

20 We have a variety of education and training  
21 programs to promote the protection of human subjects. We  
22 have a compliance oversight program that oversees

1 compliance with the regulations. We have staff who  
2 develop policy and provide guidance documents to the  
3 community.

4           In terms of a couple of important things that  
5 may be relevant to this committee, we have developed a  
6 guidance document on the Genetic Information  
7 Nondiscrimination Act that describes important  
8 implications for IRBs and investigators to consider when  
9 doing genetic research and the types of protections that  
10 are provided by that act. That is going through its  
11 final clearance through the Department, and we hope it  
12 will be released within the next few weeks. When it is  
13 released, there will be public notice of that through our  
14 website and through a listserv notice.

15           We also published and sought comment on a draft  
16 document, Guidance on Important Considerations for When  
17 Participation of Human Subjects in Research is  
18 Discontinued. A companion document was issued by the FDA  
19 regarding issues related to data retention when subjects  
20 withdraw from research and what can investigators  
21 continue to do with the data they have already collected  
22 up to that point. Our document talks about parallel

1 issues and, in particular, issues related to what you can  
2 do with tissue samples that have been already obtained  
3 but a subject chooses to withdraw their consent for that  
4 research.

5           The public comment period is closed. There was  
6 a 60-day public comment period that closed in late  
7 January. We are reviewing those comments and hope to  
8 issue a final guidance document on that within the next  
9 few months.

10           That is all I had. Thank you.

11           DR. TEUTSCH: Thank you, Mike. That is great.

12           We will turn to our colleagues in other agencies. Dan,  
13 do you want to give us an update from the Department of  
14 Defense? Dan Wattendorf.

15           **Update from the Department of Defense**

16           **Daniel Wattendorf, LtCol, USAF, MC**

17           LT COL WATTENDORF: Good morning. I'm Dan  
18 Wattendorf. I work in the Office of the Air Force  
19 Surgeon General. I'm here, at least today, on behalf of  
20 the Office of the Assistant Secretary of Defense for  
21 Health Affairs.

22           Just briefly, DOD's mission, which is a little

1 bit unique in the health care setting, is a dual health  
2 care mission. There is the readiness mission, which is  
3 the one that people may be familiar with, which is the  
4 care and support of the war fighter or military  
5 operation, but there is also the healthcare benefit,  
6 which is over 9 million beneficiaries worldwide.

7           It is a very complex health care system, with  
8 military treatment facilities all over the globe,  
9 including many in the United States. There are over 75,  
10 for example, just in the Air Force alone.

11           A lot of the activities of the Committee are  
12 very germane to the Department of Defense, even to  
13 include what we heard yesterday by CMS. DOD's  
14 reimbursement structure, although a separate department  
15 of government, still receives its funding based on  
16 reimbursable amounts based on the care that we provide  
17 under CMS structure.

18           One of the important issues for us,  
19 particularly for our readiness mission, is the ability to  
20 perform preventive care. If our funding streams are  
21 dependent on the treatment of disease, CPT codes, ICD-9  
22 codes, and coding recapture, just like in the civilian

1 sector -- and our need for our readiness mission is a  
2 highly preventive mission -- it is very difficult for us  
3 to align our funding streams with the preventive care  
4 that we provide, often out of our own budget.

5           Given that, DOD has always been very, very  
6 supportive, and closely associated with any types of  
7 programs looking at prevention and strategies for our  
8 health care system.

9           Additionally, the Department of Defense is very  
10 actively engaged in the changes to personalized health  
11 care and the electronic health record. We have  
12 representatives on HL-7 and HITSB. We closely follow the  
13 Offices of the National Coordinator, particularly in  
14 areas where they are looking at aggregating research in  
15 federated systems, like we heard about yesterday.

16           Given the number of patients that we have,  
17 millions and millions of patient encounters all coalesced  
18 in one site where we have those clinical data,  
19 phenotyping and genome-wide association studies obviously  
20 have a high possibility in the Department of Defense, but  
21 have not occurred to date.

22           In terms of research in the genetics setting,

1 most of our research in this arena comes from the  
2 supplemental. DOD's medical research [which is] coming  
3 out of our baseline budget, has not changed much over the  
4 past decade, but the supplemental budget has gone  
5 dramatically up.

6           We have many, many programs that are very  
7 related to genetics. The largest amount of breast care  
8 research money in the world is handled by MRMC, which is  
9 a congressionally directed research program. It is a  
10 peer-reviewed, NIH-style of research, and many people in  
11 the genetics community are on those research panels,  
12 including others like prostate cancer, neurofibromatosis,  
13 autism, tuberous sclerosis, and the genetics of food  
14 allergies, for example, just in this year's  
15 appropriations alone.

16           Two others that are rather large and new, and  
17 have a lot of genetics in them, are the Armed Forces  
18 Institute of Regenerative Medicine, and the Clinical and  
19 Rehabilitative Medicine Research Program, both of which  
20 have just started in the past two years. They have a lot  
21 of stem cell research in them and a lot of regenerative  
22 medicine using genetic reprogramming of cells. Those are

1 areas that the Department of Defense is actively engaged  
2 in.

3           Additionally, in our beneficiary mission, just  
4 as an example, we heard yesterday there were 4.1 million  
5 deliveries in the United States each year. DOD has over  
6 50,000 alone under our covered benefits. That is about,  
7 I suppose, one in 80. DOD is actively involved with the  
8 Secretary's Advisory Committee on Heritable Disorders in  
9 Newborns and Children. Additionally, we are looking into  
10 aggregating their newborn screening data with a national  
11 registry for DOD, as well as a national contract, so that  
12 all of our members, wherever they are worldwide, will be  
13 getting the exact same newborn screening.

14           You can imagine the complications of a highly  
15 mobile community. If a child is born overseas and  
16 doesn't have a newborn screen, and moves into a military  
17 treatment facility where the expectation is that the  
18 child has had that newborn screen, there can obviously be  
19 clinical challenges at the point of care.

20           DR. TEUTSCH: Great. Thanks, Dan. We really  
21 do appreciate it.

22           Mike Amos, from the National Institute of

1 Standards and Technology.

2 **Update from the Department of Commerce**

3 **Michael Amos, Ph.D.**

4 [PowerPoint presentation.]

5 DR. AMOS: Thanks. Good morning. I am Mike  
6 Amos. I'm going to talk about the Stimulus Act and the  
7 recent omnibus appropriation, and how it affects what we  
8 do at the Department of Commerce. I am actually at the  
9 National Institute of Standards and Technology.

10 I can tell you that our mission is to promote  
11 U.S. innovation and industrial competitiveness by  
12 advancing measurement science, standards, and technology  
13 in ways that enhance economic security and improve the  
14 quality of life.

15 At the Department of Commerce, we received \$7.9  
16 billion in the Recovery Act. A lot of that goes to these  
17 types of things. The thing you are probably most  
18 interested in is the next-to-last line down, the National  
19 Institute of Standards and Technology, because that is  
20 mostly where the health care stuff is.

21 What we received was \$610 million, including  
22 \$360 million to work on some construction things, \$180

1 million to provide competitive construction grants for  
2 science facilities around the U.S., and \$10 million for  
3 our interoperable smart grid.

4           The fun part is that we got \$20 million in  
5 funds transferred from DHHS for working on developing  
6 test beds for health IT infrastructure and \$220 million  
7 for grants, fellowships, and equipment and supplies.  
8 Basically, it is pass-through money from Congress to NIST  
9 to dole out and spend. Unfortunately, the spending plan  
10 is still pending, since we don't have a Secretary,  
11 either. I guess that slows things down.

12           Historically, what I can tell you is that we  
13 have had about \$15 million in diagnostic spending and,  
14 for total health care, about \$21 million in 2008. Of  
15 that, only about \$5 million was ever appropriated by  
16 Congress specifically for health care-related activities.

17       The rest of it has been reprogrammed from other things  
18 that we do based on decisions by the directors of the  
19 different laboratories.

20           I'm happy to say that under the 2009 omnibus  
21 appropriations bill we will receive an additional \$3  
22 million this year to work on current generation

1 diagnostic measurements. Basically, that will focus on  
2 laboratory medicine and medical imaging, with the  
3 justification of trying to improve the information that  
4 goes into the electronic health record. Since we are  
5 going to be spending so much money on that, it is good to  
6 have good information that goes into it.

7           Three million dollars may not sound like a lot,  
8 but NIST doesn't traditionally get big budget increases.

9       This year we received \$27 million in new money, for a  
10 total of about \$819 million for NIST. Three million is a  
11 pretty fair-sized chunk of that, considering all of the  
12 other things that we have to work on. We are very happy  
13 about that.

14           The fun thing is that we are planning, in our  
15 2010 and 2011 budgets, on expanding our work in  
16 laboratory medicine and medical imaging and delving into  
17 more of the next-generation things that I talked about in  
18 my talk at the last meeting, like focusing on multiplex  
19 technologies and new ways to get into medical imaging,  
20 and focusing on more molecular imaging as well in the  
21 future.

22           Things that we are going to work on in

1 laboratory medicine are nucleic acids and proteins. The  
2 things in black are the things that we are working on.  
3 The things in red are the things that we are not going to  
4 work on because other national measurement institutes  
5 around the world are doing that and have more expertise.  
6 We are going to focus on nucleic acids and proteins. I  
7 think you will be happy that we are working on nucleic  
8 acids.

9 In medical imaging, we are going to focus on  
10 MI, PET, CT, and medical optical imaging in the short  
11 term, expanding that into molecular imaging, as I said.  
12 With that, thank you.

13 DR. TEUTSCH: Thank you very much, Mike. That  
14 is great. Clearly, there is lots going on across the  
15 government that is germane to our work.

16 We have a couple of minutes if people have any  
17 questions for any of the speakers about what is going on  
18 in their agencies, their plans, or all that Recovery Act  
19 money, where it is going and how it can be used.

20 MS. ASPINALL: Do they need any advice from the  
21 Committee? Give it out quickly.

22 [Laughter.]

1 DR. TEUTSCH: Hearing none, thank you to all of  
2 our speakers. We really do appreciate all that you do,  
3 not only to keep us informed as to what is going on but  
4 your participation in all of our work.

5 As we did yesterday, we will be hearing from  
6 the public again. We do serve as a public forum and  
7 welcome all the comments from the public. We set aside  
8 time at each of our meetings to do this.

9 This morning we have Daryl Pritchard, who is  
10 the Director of Research Program Advocacy at BIO, the  
11 Biotechnology Industry Organization. We look forward to  
12 your comments. Welcome.

13 **PUBLIC COMMENTS**

14 **Comments by Daryl Pritchard**

15 **Biotechnology Industry Organization**

16 MR. PRITCHARD: Good morning. I'm Daryl  
17 Pritchard with the Biotechnology Industry Organization.  
18 We appreciate this opportunity to testify before the  
19 Committee this morning.

20 BIO is the largest trade organization to serve  
21 and represent the biotechnology industry in the United  
22 States and around the globe. BIO represents more than

1 1,200 biotech companies, academic institutions, state  
2 biotech centers, and related organizations in the United  
3 States.

4 Today, the Committee is set to discuss the  
5 future of the health care system. We would like to  
6 suggest and reiterate a few things that the Committee  
7 might do that may be able to help ensure that novel  
8 molecular diagnostics are used to improve outcomes and  
9 efficiency in health care delivery.

10 Some of my comments may echo the comments made  
11 by my colleague, Theresa Lee, from AdvaMed yesterday. I  
12 think this exemplifies that across industry we share some  
13 of the same concerns in the reimbursement system for  
14 molecular diagnostics.

15 First, payers must recognize that innovative  
16 diagnostics can provide tremendous value by optimizing  
17 patient management and reducing the overall cost of an  
18 episode of care. Diagnostics must receive timely and  
19 adequate reimbursement that reflects this value.

20 The current reimbursement landscape also  
21 emphasizes treatment of acute conditions rather than  
22 prevention and chronic disease management. It is

1 necessary to develop new policies that expand payer  
2 coverage and reimbursement and diagnostics and services  
3 focused on disease prevention.

4           The CMS reimbursement methodology must be  
5 modified so that it encourages appropriate use of  
6 beneficial diagnostics. Right now, the current  
7 reimbursement system imposes obstacles to both the use  
8 and development of innovative tests, and I wanted to just  
9 point to some examples of those obstacles in today's  
10 public comment period.

11           As the Committee considers the future of the  
12 health care system, BIO encourages that you move forward  
13 in submitting an action plan, if possible, for the  
14 implementation of your recommendations made in your  
15 February 2006 report, Coverage and Reimbursement of  
16 Genetic Testing Services, an excellent report, and to  
17 consider areas in that report that may need to be updated  
18 or reemphasized that take into consideration some of the  
19 problems in the CMS rate-setting methodology that we are  
20 going to probably discuss today.

21           To have an immediate impact, we also encourage  
22 SACGHS to recommend to the Secretary immediately to

1 direct CMS to take the long overdue action to update and  
2 reform the antiquated clinical lab fee schedule.

3           We also encourage SACGHS to look a little more  
4 closely at ways to create a transparent and predictable  
5 reimbursement system that reflects the value of  
6 diagnostic tests. We appreciate the opportunity to  
7 briefly discuss and point out some examples on these  
8 points.

9           As health care reform proposals are developed,  
10 it is imperative that the DHHS include reimbursement  
11 system reform and consider the recommendations made by  
12 SACGHS in this area.

13           Health care reform must take into consideration  
14 the tremendous value of novel diagnostics to patients in  
15 terms of clinical outcomes, quality of care, and  
16 potential cost savings. Reimbursement policy must  
17 reflect this value. The CMS rate-setting methodology and  
18 the clinical lab fee schedule is an example of a system  
19 that does not adequately reflect the value these  
20 diagnostics provide.

21           Currently, new diagnostic test rates are  
22 determined by CMS by either crosswalking the test into an

1 existing code or rate or creating a new code for the test  
2 and allowing the carriers to gap-fill or establish their  
3 own prices for a period of time until a national rate is  
4 calculated. Neither methodology is market-based, and the  
5 pace of innovation is slowed accordingly.

6 BIO looks forward to working with SACGHS and  
7 the Secretary to implement reforms to the CMS rate-  
8 setting methodology that may stimulate and reward  
9 innovation and that reflect the value of these tests.  
10 Developing and bringing to market new generation of  
11 diagnostic tests, typically, is far more costly and  
12 complex than for traditional lab tests.

13 Even under CMS's gap-filling methodology aimed  
14 at new tests for which there are no comparable existing  
15 tests, BIO is concerned that pricing variations among  
16 Medicare contractors may be so great and so unpredictable  
17 that they will impede patient access to these tests and  
18 stifle innovation.

19 We also are concerned that setting a national  
20 payment amount when the market for the test is not yet  
21 well established, and for which little claims experience  
22 is available, will lead to inappropriate reimbursement

1 with little opportunity for adjustment in pricing later,  
2 even if it is acknowledged that rates have not been set  
3 appropriately.

4           In addition, because many of the new tests are  
5 proprietary and may be offered or performed by only one  
6 lab in the country, the gap-fill price established by the  
7 carriers serving that lab becomes a de facto national  
8 price. If it is insufficient, it may not be economically  
9 feasible for the lab to offer that test at all.

10           BIO believes that the rate-setting process  
11 lacks transparency and predictability. CMS also does not  
12 clearly state its decision-making process when  
13 determining reimbursement amounts via the crosswalking  
14 process. Lack of a transparent and predictable rate-  
15 setting methodology can discourage industry from entering  
16 the development process for important new diagnostics,  
17 particularly those requiring expensive prospective  
18 clinical trials.

19           By ensuring appropriate value recognition of  
20 molecular diagnostic tests, the Department can create  
21 financial stability and attractiveness for industry,  
22 further facilitating continued investment and development

1 of these diagnostics. This will go a long way toward  
2 improving outcomes and efficiency in our health care  
3 system.

4 Thank you for the opportunity to provide this  
5 statement for BIO. I would be happy to take any  
6 questions.

7 DR. TEUTSCH: Thanks so much. We do have a few  
8 minutes if people have questions or comments.

9 [No response.]

10 DR. TEUTSCH: These are clearly important  
11 issues, as you know and recognized, of course, in our  
12 Reimbursement Report from a couple of years ago. There  
13 has been an ongoing dialogue with CMS on a number of  
14 them.

15 I don't know if you were here yesterday. Dr.  
16 Straube talked a little bit about them. I don't think he  
17 went into depth about some of the reimbursement issues in  
18 lab testing, but that is a subject of continued dialogue.

19 Thank you very much.

20 Why don't we take a 15-minute break. Then we  
21 are going to spend the remainder of the day on the key  
22 issue of how genetics and genomics can inform the

1 changing and evolving health care system. Grab your  
2 coffee and come on back. We have a terrific lineup of  
3 presenters.

4 [Break.]

5 DR. TEUTSCH: Welcome back, everyone. We took  
6 a little longer break than normal. Dr. Straube is going  
7 to be a few minutes later than we had originally  
8 anticipated, but we can go ahead and get started now with  
9 the main agenda item for today, which is our roundtable  
10 discussion on the health care system.

11 This discussion will be part of our work on one  
12 of the new priority topics, genetics and the future of  
13 the health care system. We will be focusing today, and  
14 at the next meeting, on beginning to understand the role  
15 that genetics can play in a new approach to health care.

16 Clearly, this work fits in with the new  
17 Administration's interest in reforming health care, which  
18 they hope to do within President Obama's first year in  
19 office. I think that is a bit faster than we had  
20 initially anticipated, which probably should spark us to  
21 move more rapidly.

22 The Administration's hope, which we of course

1 share, is that a reformed health care system will be less  
2 costly, more effective, and more equitable.

3           As we move forward with our own work in this  
4 area, we are particularly interested in examining what  
5 kinds of systems are needed to ensure that new genetic  
6 technologies and genetic approaches to provide good value  
7 for our health care dollars.

8           Today's roundtable guests can particularly  
9 speak to the issues of cost and value, since they are all  
10 members of the payer community.

11           Before I turn things over to Mara Aspinall, who  
12 has been the Committee lead in this area and has done a  
13 terrific job in helping pull all of the session together,  
14 I wanted to note that at our June meeting we will have a  
15 roundtable discussion again from some other stakeholders  
16 in health care reform.

17           Our hope is that after hearing from various  
18 groups, including the payers, health care providers, and  
19 patients, we will be able to provide a comprehensive  
20 assessment of the role genetics could play in a reformed  
21 health care system.

22           As I said, because the Administration intends

1 to move forward quickly with health care reform, we will  
2 also continue to monitor their progress in the area.  
3 Should our views on health care reform be needed on short  
4 notice, perhaps ahead of our time frame, we will be  
5 prepared to provide any relevant guidance and information  
6 to the Secretary.

7           It should be a fascinating year. I'm truly  
8 looking forward to this session, because I think we have  
9 a terrific group of speakers who have a lot to tell us.  
10 Let me turn it over to Mara. She will give us a greater  
11 introduction. Thanks, Mara.

12                           **ROUNDTABLE ON GENETICS AND THE FUTURE**  
13                           **OF THE HEALTHCARE SYSTEM**

14                           **Roundtable Purpose and Overview**

15                           **Mara Aspinall, M.B.A.**

16           MS. ASPINALL: Thank you, Steve. Thank you,  
17 everyone. Today's session is going to focus on looking  
18 at the future. There are a lot of discussions about the  
19 future. The Committee spent time with six futurists,  
20 which is a profession that is very interesting to put  
21 your kids in but there aren't actually a lot of jobs in  
22 it right now. Another way to think about it is, everyone

1 is a futurist but these are people who actually have it  
2 on their cards.

3           When you think about the future, a lot of the  
4 discussion is very abstract, what might happen, what  
5 might occur. There is a lot of brainstorming about  
6 systems of the future. We are going to take that aspect  
7 of the future and combine it with a very practical aspect  
8 of the future, which I would call preparedness. As I see  
9 it today, and as the Committee has talked about, everyone  
10 is talking about genetics.

11           I heard a statistic yesterday, that 14 percent  
12 of "USA Today" front pages, over the last three years,  
13 have had stories about genetics. Whether that be on the  
14 first page or the Life section or occasionally in Sports,  
15 that means that genetics has arrived.

16           I don't know about your households, but when my  
17 mother talks about genetics -- if you're watching on the  
18 Web, hi, Mom -- and my kids talk about genetics, genetics  
19 has very much arrived. The real question I have is  
20 avoiding the "should have knowns." If you look back to  
21 the '70s and '80s, many people were saying we would have  
22 a crisis in the number of nurses in our health care

1 systems. What happened? Indeed, 10 years later we had a  
2 crisis of not enough nurses in our systems.

3           What we need to do now is use the time and  
4 really step back and ask, how can we prepare for what is  
5 possible, maybe likely. Everyone is talking about the  
6 onslaught of genetics, genetic testing, genetic-based  
7 drugs, genetic information.

8           What the group is about to kick off, both  
9 today, and then in our continuing work, is sharing with  
10 us your thoughts about, as a Committee -- but really HHS,  
11 and more broadly the health care system and the  
12 Administration -- what do we need to be doing today to  
13 prepare for a future where genetics and genomics play a  
14 larger role.

15           That is the basic objective that we have. We  
16 want to really have some fun with this. We have an  
17 interactive presentation. We have Committee members,  
18 non-Committee members, and leaders in various parts of  
19 the industry. We have somebody on the phone to give an  
20 appearance. I feel like we should have her picture up  
21 there so you know what she looks like at the same time.  
22 This will be an opportunity, hopefully, for a lot of

1 discussion with some very interesting people.

2           With that, I'm going to start with just a  
3 couple of slides to say why I think this is important and  
4 what I see today when we consider genetics.

5           [PowerPoint presentation.]

6           MS. ASPINALL: When I think about genetics and  
7 the future of health care, the first thing everybody  
8 talks about is the \$1 million genome. That is one thing  
9 to prepare for. What we really need to prepare for, I  
10 think, is the \$1,000 genome.

11           Everyone talks about education for physicians.  
12 We heard that yesterday. We need to educate our  
13 physicians to really say what is genetics and the future  
14 of health care. It doesn't stop there because it is  
15 counseling for patients at the same time. It's not just  
16 our physicians, it's directly to our patients.

17           Are there going to be labs on a chip, is the  
18 first level of preparedness. Maybe more importantly, is  
19 the chip going to be on your arm or in your arm? Is the  
20 chip going to be in your wallet? A lot of companies are  
21 talking about it being not just in your wallet but in  
22 your kids' wallets.

1           How do you define kids? Maybe it's their  
2 knapsack, or their diaper. When will we start being told  
3 about our genetics and genomics, and how does that work?  
4 Is it, from an industry point of view, about companion  
5 diagnostics? That is a term that has been used very  
6 frequently.

7           I'll be provocative here and say, does  
8 companion diagnostics say it is centered on the  
9 therapeutic? Maybe it's companion therapeutics that is  
10 centered on the diagnostic. Both of these happen when  
11 you have genetics playing that link between diagnostics  
12 and therapeutics.

13           Lastly, and fundamentally -- and this committee  
14 has really attacked these issues and talked about it in  
15 meeting after meeting -- are we in a world of genetic  
16 exceptionalism, or have we already moved to the point  
17 where genetics is the new normal?

18           These are the kinds of questions that we will  
19 be asking, and each of our panelists will be talking  
20 about, to really have a provocative session about how we  
21 move forward to be prepared for a future where genetics  
22 plays a very different role than it does today.

1           With that, I would like to introduce our first  
2 speaker, who is going to give an overview for the field.

3       Then we are going to have three separate sessions  
4 looking at each of the aspects.

5           Our first speaker is Rob Epstein. Rob is  
6 senior vice president and chief medical officer at Medco  
7 Health Systems. He has broad responsibility, from  
8 formulary to clinical guidelines, research,  
9 accreditation, and, as we like [to call it], personalized  
10 medicine services.

11          Rob is trained as an epidemiologist, and is a  
12 true leader in the field. He has spoken frequently and  
13 has written dozens of articles in the area. He is  
14 involved in a number of policy statements and policy  
15 organizations. Most recently, last year, he was  
16 appointed a member of EGAPP.

17          With that, I would ask Rob to give us our  
18 overview. Thank you.

19                   **Overview of Key Issues in Healthcare Reform**

20                           **Robert Epstein, M.D.**

21                           [PowerPoint presentation.]

22                   DR. EPSTEIN: Good morning, everyone. It is a

1 real pleasure to be here this morning, and to be invited  
2 to speak. I was asked by Mara to do something that is so  
3 much fun. I get to talk about some of the key issues and  
4 not have to solve them. It's just perfect. I really  
5 like this particular role in life.

6 I know I only have about 10 minutes, so I'm  
7 going to try to stick to it and get us back on schedule.

8 I do want to, however, open this up with a cartoon that  
9 was published in 2000. Yes, I do have the copyright  
10 approval to show you this.

11 This was from 2000. There was a person coming  
12 into a pharmacy saying, "Here's my sequence," and they  
13 are looking at all these jars and pills and looking at  
14 the pharmacist for help. That was the vision nine years  
15 ago. Actually, that is becoming a reality today.

16 Where I work, even though we cover about 60  
17 million lives, we have tens of thousands of people who  
18 are genotyped, who are expecting our pharmacists to  
19 actually do something with the genotypic information and  
20 provide them advice. Should I take the drug; should I  
21 take a lower dose, a higher dose; am I at the wrong dose.  
22 It's actually happening. It might have taken nine

1 years, but it's happening.

2 I'm going to cover, in my 10 or less minutes,  
3 these six areas. I won't read them all off for you, but  
4 for me, these are probably six very key issues in trying  
5 to drive genetics into our health care system over the  
6 next several years. None of these are easy to solve.  
7 All of them have issues not just to do with genetics but  
8 other novel technologies or things that are coming to the  
9 marketplace.

10 Let me start with the proposition that I'm sure  
11 many of you know about only too well. It has been shown  
12 and has been published that on average it takes 17 years  
13 for what we know from our heart of hearts in the science  
14 to actually turn out at the bedside. Is that something  
15 we are satisfied with when we think of this emerging  
16 genetic information? Probably not.

17 There are lots of reasons for it. A lot of  
18 them are up here on the slide. The one that resonates  
19 for me is that there are more than 2 million articles  
20 published in the peer review journals every year. I'm a  
21 complete geek. I read everything, and I get maybe 20,000  
22 articles a year, if that much. I'm doing pretty well,

1 but a practicing doctor out there, how do they screen  
2 through 2 million articles and figure out what they  
3 should learn and not learn? It is not possible.

4           We don't have an information infrastructure  
5 today in the healthcare system that prompts providers  
6 with new information. It doesn't exist, really. We have  
7 to think about that if we want to drive adoption of this  
8 great new science into the bedside.

9           Secondly, and it is a whole big conversation,  
10 but what is our evidentiary basis for decision-making in  
11 health care. Certainly since the early '60s with the  
12 change in the Food, Drug, and Cosmetic Act we have been  
13 really hooked on randomized control trials as the be all  
14 and end all, but let's back up a minute and think about  
15 everything else we have ever proven or looked at in  
16 health care.

17           Cigarette smoking and lung cancer. Have we  
18 randomized people to smoke? I don't think so. We  
19 actually had a whole series of observational studies that  
20 were rolled up into what are called the Bradford-Hill  
21 criteria which are used for proving causation minus or  
22 absent a randomized control trial.

1           I raise that because I wonder if the standard  
2 for assuming genetic information is useful always  
3 requires RCTs. If so, it is going to really slow this  
4 field down.

5           Third, I would say that the payer community in  
6 particular really needs a framework for providing access  
7 to this technology. This may not be the right framework,  
8 but all this genetic information needs to fall into some  
9 sort of buckets. The payers can say yes, I can see the  
10 value in that one and maybe not that one. If you think  
11 of diagnostics like "Do I or don't I have a genetic  
12 disease?", I don't think you would have a problem with  
13 people saying yes, it makes sense that people need to  
14 know if they have that genetic disease.

15           Predisposition testing. In 30 years am I going  
16 to have Alzheimer's? That may not be something that all  
17 payers would feel they are on the hook for providing to  
18 their membership.

19           Monitoring for effects. Certainly that might  
20 be something that people feel compelled to do. That is  
21 probably important, if there is a value equation there.  
22 The same for pharmacogenomics.

1           Whatever the framework is, I'm hearing from the  
2 payer community they need some help on how to evaluate  
3 all these things and put them into areas so they can  
4 concentrate and think about, and say, I get it, I don't  
5 get it; maybe yes, maybe no.

6           That leads to a whole controversy on return on  
7 investment. How is that calculated? When is that  
8 decision being able to be made for someone to say they do  
9 or don't reimburse. Are the benefits that are derived  
10 from all this technology outweighing the costs. I would  
11 say there is some controversy even here, and I think  
12 there could be some help provided here.

13           Is it a straightforward health economic  
14 evaluation, something like what economists talk about,  
15 cost benefit? You put everything into dollars and cents,  
16 both the costs and the benefits, and you just do the  
17 equation. Is that the right thing to do over some time  
18 horizon?

19           Is it something that is more commonly practiced  
20 in the U.K., Australia, and elsewhere, cost effectiveness  
21 analysis, cost per quality-adjusted life-years or cost  
22 per life-years saved, something we don't do so much in

1 the U.S. but people do elsewhere for this kind of  
2 decision-making?

3 Or, forget both of those things. Maybe it's  
4 just tallying up the costs on the one hand, and the  
5 benefits on the other, and somehow making a value  
6 judgment. Is it good enough that people should be  
7 providing access or reimbursement?

8 Those are thorny questions. Luckily, I don't  
9 have to answer them today, but I'm throwing them out as  
10 issues that do need to help get resolved in order for  
11 this field to really move faster.

12 Also, I would challenge some of the subsequent  
13 speakers to think about this. We live in a very  
14 disarticulated, siloed health care system. Data sits in  
15 various silos. Stuff is sitting over in the encounter  
16 data in a claims file, one place. Pharmacy data is over  
17 here. Ambulatory care and electronic medical records are  
18 somewhere over there. Laboratory is computerized over  
19 there. Personal health records, now a big thing, are  
20 over here. It is here, there, and everywhere.

21 If we want to be able to pull it all together,  
22 link it, and do something with it so that we take

1 advantage of the information that is being gleaned, we  
2 have to figure out a way to make that happen.

3           There needs to be a framework, not only for  
4 standardization of the data itself that goes into this  
5 idea, but maybe the placement of all these data into some  
6 repository somewhere that is password-protected or rolls-  
7 based, or some way to get into it and out of it. I know,  
8 inside the Beltway, people talk about this all the time,  
9 but we are not going to get the mileage out of all this  
10 information if it is all sitting in various silos and the  
11 only place it comes together is on paper in a file in a  
12 doctor's office. We really aren't leveraging all of what  
13 we are collecting when it is all over the place the way  
14 it is today.

15           On top of that, I would say we need to think  
16 about a protocol-driven system to layer on top of the  
17 information. In other words, it is great if all that  
18 data is sitting in an electronic place somewhere, but who  
19 is saying if you have Gene X you shouldn't take Drug Y?  
20 If there is somebody who says that and puts it into the  
21 system as a protocol, who writes that protocol?

22           That goes beyond a guideline. I'm talking

1 about literally a computerized protocol that messages a  
2 doctor or a member or somebody that says, "Danger,  
3 danger, this is not good. These are bad combinations."

4 I can tell you the world of pharmacy has been  
5 linked electronically since 1990. All 60,000 pharmacies  
6 in America are electronic and real-time. There are those  
7 kinds of edits about things like age. You are over 65,  
8 don't take this drug.

9 There was a paper in JAMA using the late Mark  
10 Beers criteria which outlined which drugs are unsafe in  
11 the elderly. When you push those messages to  
12 pharmacists, 25 percent of the time physicians change the  
13 drug. That is good. Why don't we think about genomics  
14 information. Push it out there. Get people to say Gene  
15 X, bad idea for Drug Y, or whatever it might be. That  
16 has to be part of the plan here.

17 I'm going to close and gain you back some time.  
18 Given all of the conversation about health care reform,  
19 I think the opportunity is right now to start addressing  
20 some of these major issues, which I do believe will help  
21 facilitate the adoption and the dissemination of this  
22 great science that is all out there.



1 implement something is different if you are under a  
2 capitated system versus fee-for-service system.

3           I work in an integrated system, so we can  
4 actually track costs and do different things. It is  
5 funny, we always think it is the payers that are the  
6 thing, but when we wanted to introduce a tumor-based  
7 screening system to identify patients with lymph  
8 syndrome, it is actually the hospital that takes the hit  
9 because they are being paid off of the DRG and the  
10 pathology cost comes out of the DRG. The insurance  
11 company doesn't get anything until a patient actually  
12 goes for molecular genetic testing.

13           It is important to have cost effectiveness and  
14 cost benefit and all those things out there to give us a  
15 rough idea, but I don't see it as actually helping  
16 implementation because of the different amount that each  
17 stakeholder has in the game. That is a question and a  
18 comment.

19           DR. EPSTEIN: Sure. Let me first say that I  
20 agree with you that we have a patchwork of decision-  
21 makers in this country which use different approaches to  
22 answering this question. At the very least, I think we

1 ought to have greater transparency so that whoever the  
2 stakeholder is, is transparent about what they put in  
3 their equation.

4           I would say that then opens up dialogue. So to  
5 your question why would this help adoption, it may be  
6 that -- and I'm making this up -- some stakeholder today  
7 is not transparent but tomorrow is transparent. It  
8 serves up good discussion, and they revise the way they  
9 approach the question. That will help force adoption.  
10 Today you really don't know exactly what goes into the  
11 mix of how you calculate that return, how are you coming  
12 to that decision, what are you using in your institution  
13 versus the person two seats over. That is not  
14 necessarily transparent. At the very least, I think that  
15 is what we need.

16           DR. EVANS: I am really glad that you brought  
17 up the issue of how we determine when something is ready  
18 to pay for or, more importantly, introduce into clinical  
19 practice. I just wanted to amplify that because I think  
20 that as we proceed that is going to be one of the really  
21 critical questions.

22           You are right. Your implication that we can't

1 afford RCTs for everything is absolutely correct. On the  
2 other hand, we have to be very cognizant of the lessons,  
3 the most recent of which is probably HRT, in which we can  
4 easily be misled. I think that I'm as enamored of  
5 genetics and genetic technology as anybody as a  
6 geneticist, but I think we have to be very careful not to  
7 get carried away with our enthusiasm and begin to  
8 implement things that don't have good outcome data.

9 DR. EPSTEIN: I agree with you. I think,  
10 honestly, it wouldn't be a bad idea to go back and look  
11 at something like the Bradford-Hill criteria because they  
12 really outline strength of association across multiple  
13 studies, consistency, dose-response relationship, a lot  
14 of things that you think should be there in order to feel  
15 comfortable about causation. It is not a single  
16 retrospective study.

17 DR. EVANS: There are models like provisional  
18 coverage which might be very important as we move  
19 forward.

20 DR. EPSTEIN: Perhaps provisional coverage  
21 could be based on that kind of criteria, waiting for  
22 something else.

1 DR. EVANS: As we collect more data in the real  
2 world.

3 DR. EPSTEIN: There you go. Yes. I'm with  
4 you.

5 DR. BILLINGS: Rob, first of all, I want to  
6 thank you. Your company touches a lot of lives. The  
7 fact that you are thinking broadly and even thinking  
8 about genetics is a tribute to the view and the vision of  
9 your company. I wanted to say that.

10 I want to return to the return on investment  
11 topic. It seems to me that, given the economic  
12 environment, there is going to be a lot of pressure for  
13 near-term returns on investment, but the general feeling  
14 in the technology world is that technology investment and  
15 the incorporation of new information usually increases  
16 cost first. Benefits in terms of lower cost or return on  
17 investment are five or 10 years delayed. I'm not sure  
18 that there is much appetite for that right now.

19 So I'm curious about what you think the low-  
20 hanging fruit is. Where is there near-term cost  
21 reduction that we could demonstrate in this system?

22 DR. EPSTEIN: That is a great series of

1 questions, actually. I have had the fortune of speaking  
2 to probably close to 1,200 payers on this topic  
3 individually over the last four or five years. I would  
4 say, to the earlier point, that we do have a patchwork of  
5 decision-makers who have different time horizons. There  
6 is somebody in this audience who was telling me they have  
7 their employees for 20 or 30 years, so they are not  
8 looking at the one- or two-year time horizon. Some other  
9 folks I have met with say, "I have to have an ROI in one  
10 year."

11           First of all, let me just say that different  
12 audiences have a different time horizon of what they are  
13 most concerned with.

14           I'm seeing that for things that aren't major  
15 outcomes, like cancer recurrence and those kinds of  
16 things, people are looking for the shorter time horizon.

17   When you are looking at something that is so-called  
18 serious like that, people give you a little bit of a bye  
19 on the topic.

20           Pharmacogenomic testing, if you do some of the  
21 models, can get you to a one-, two-, or three-year time  
22 horizon for not all but many of the tests that are out

1 there. We are finding a lot of payers are really  
2 interested in those kinds of things. When it is  
3 predisposition testing, like "I'm going to get Disease X  
4 in 20 years," that is a little tougher, I would say.

5 MS. ASPINALL: Thank you. I have one question  
6 and one heads-up to the panel. I would like to come back  
7 to the issue of how do you make this kind of change  
8 happen because I think that would be great for the whole  
9 panel.

10 Just a quick question on the survey that you  
11 showed at the end with the pharmacists and the data that  
12 went out that seniors shouldn't be taking certain  
13 prescriptions. How do you evaluate 25 percent of the  
14 time, in the sense that this is increasingly -- and we  
15 talked about this yesterday -- an information business?  
16 It seems that the pharmacy may be the most integrated  
17 part of our health care system now and you can get that  
18 information out. Why isn't it 100 percent of the time?  
19 Should we be expecting in the future that this is 100  
20 percent of the time? What should we look at as a good  
21 standard?

22 DR. EPSTEIN: That is a great question. In the

1 pharmacy system that exists today, it is only as good as  
2 the data that are in there. It is never as good as all  
3 the information a physician has. While you can send the  
4 message out, it may be that the person has been on the  
5 therapy for three years and doing just fine. Maybe they  
6 are allergic to the other drug. There are lots of  
7 reasons why it may be overrutable. You can't think 100  
8 percent is the right number.

9 I will tell you the background rate on this,  
10 absent this system, was 2 percent. So you went from 2  
11 percent to 25 percent. I think that is not bad.

12 MS. ASPINALL: Julio.

13 DR. LICINIO: I have a question. What do you  
14 think are the biggest barriers to bringing these things  
15 to practice? For example, the vast majority of people  
16 who take important drugs that are metabolized by a  
17 certain gene are not tested, yet this has been known for  
18 a very long time. Why? What can we do to shorten the  
19 gap?

20 DR. EPSTEIN: I will just reveal a little bit  
21 of information, but I'm holding the rest back for a  
22 publication submission.

1           This I can reveal because both partners have  
2 supplied this little piece of information. We  
3 collaborated with the American Medical Association to do  
4 a national survey of physicians to find out their  
5 attitudes and awareness to pharmacogenomics information.

6           The one piece of good news is that nearly 90  
7 percent of physicians believe that genes do provide  
8 information about drug response. That is cool. That  
9 means, to me, doctors get it. They at least know that  
10 genetic information can inform a prescription or drug-  
11 related decision.

12           Get this one, though. Nearly 90 percent of  
13 physicians say they don't remember having genetic  
14 training in medical school and don't feel comfortable  
15 ordering the test or understand how to interpret it.

16           Quite frankly, the good news is people believe  
17 it; the bad news is they don't feel comfortable with it  
18 yet. This is a general statement about a national  
19 survey, not by specialty. That at least points out one  
20 big uphill battle that I think you must have talked about  
21 yesterday, which is that we don't have people feeling  
22 comfortable yet, outside of genetic counselors, on what

1 to do with the information, even though to you and I it  
2 may seem very clear.

3 MS. ASPINALL: Gwen. Then we will end the  
4 session and move on to the public payers piece.

5 MS. DARIEN: I have a couple of clarifications  
6 and questions. Just to frame it, I work in cancer  
7 advocacy, so that's what I know the most about. I think  
8 that there is often a confusion between causation and  
9 increasing risk. Certainly, there is an association  
10 between smoking and lung cancer, but smoking doesn't  
11 cause lung cancer. It just increases your risk  
12 significantly.

13 The other thing that I thought about was when  
14 you were talking about seniors getting certain types of  
15 medicine. There is a lot of work in the cancer field  
16 because there are so many different ways of being over  
17 65. There is 'young 70s' and there is 'old 70s.' A lot  
18 of people that work in geriatric oncology are very  
19 concerned about the fact that there are these standards  
20 that don't necessarily apply to everybody.

21 I know that you are trying to make standards  
22 and guidelines. How do you reconcile guidelines with the

1 reality of the diversity of a population between 65 and  
2 85?

3 DR. EPSTEIN: I love that question. I was on a  
4 panel recently where there was a speaker who has made a  
5 career out of writing guidelines. I said, I think this  
6 whole personalized medicine approach is going to threaten  
7 all that you have done over the last 30 years. He was  
8 like, "What do you mean?"

9 [Laughter.]

10 DR. EPSTEIN: We came back to this by thinking  
11 we can refine things like guidelines and make them more  
12 personalized. Instead of having the glib statements to  
13 your point that a chronological 65-year-old shouldn't do  
14 something, maybe the next set of rules would be, if you  
15 are over 65 and your BUN and creatinine are something,  
16 then you should be more careful.

17 We can still use this approach, but we may need  
18 to come forward with more personalized guidelines. So  
19 they are not just XY, XY, they are more like X, and then  
20 there is a little subset.

21 Not to incorporate all the science and  
22 information that you were describing would be a mistake,

1 or to throw out the concept because it can't get granular  
2 enough. It needs to get more granular. Otherwise we are  
3 in the sloppy system we are in today.

4 MS. ASPINALL: Thank you, Rob. That was  
5 terrific. Keep the questions coming. We have some more  
6 great speakers.

7 Our next panel is on the public health payer  
8 perspective. I don't think it is an overstatement to say  
9 many in the industry would believe all roads lead to and  
10 from CMS. We are going to start with Barry Straube, who  
11 is the chief medical officer of CMS and who gave a  
12 terrific presentation yesterday on how the agency thinks  
13 about genetics and how they are going to move forward.

14 Our second speaker on this piece of our panel  
15 will be Bruce Quinn. Bruce brings us the perspective of  
16 a physician, a researcher, and a policy expert. He is  
17 the senior health policy specialist for Foley Hoag and  
18 the former contract medical director for the California  
19 Medicare Part B Program.

20 We will take the two speakers and some quick  
21 questions if it is clarification. Otherwise we will take  
22 questions at the end of both of the talks. First Barry,

1 then Bruce. Thank you.

2 **Public Health Payer Perspective**

3 **Barry Straube, M.D.**

4 DR. STRAUBE: Good morning to you again. I'm  
5 going to be sharing some anecdotes about CMS again, as I  
6 did yesterday, with some of the frustrating things we  
7 have to encounter. My flash stick came up, was put into  
8 the computer, and we are so well encrypted, and it is so  
9 secure, we can't open my slides up this morning.

10 [Laughter.]

11 DR. STRAUBE: There are handouts that I'm going  
12 to be talking from. We will, just quickly, go through  
13 some of these.

14 MS. ASPINALL: Everyone should have them.

15 DR. STRAUBE: There is a two-pager, front and  
16 back, and then there is a diagrammatic handout that I'm  
17 going to refer to because you probably can't read the  
18 writing on the six-slides-per-page presentation.

19 We were given, Bruce and I, two framing  
20 questions to use this session for. One was, how can the  
21 value of emerging genetic and genomic technologies best  
22 be evaluated in a timely manner for coverage

1 determinations. The second question was, what changes in  
2 coverage and reimbursement determination will be  
3 necessary to address the increasing trend in prevention-  
4 based medicine. The example given was Medicare covering  
5 only a limited number of screening tests, which we talked  
6 about yesterday.

7 I thought I would continue. I wanted to,  
8 again, thank Steve and the Committee for giving me the  
9 time that I had yesterday to give you Coverage Decision-  
10 Making 101 at CMS. There were two issues that I didn't  
11 bring up in that presentation that I think are germane to  
12 this session. The first is for you to look at the  
13 diagrammatic scheme for how coverage decision-making is  
14 made within CMS. This gets at the timeliness issue.  
15 That is the one that is also on the larger slide that is  
16 easier to read.

17 Go over to the top left-hand corner and you  
18 will see that we begin with coverage decision-making at  
19 any point in time. We have preliminary meetings with  
20 technology developers, people in industry, and advocacy  
21 groups for patients or other entities, and we actually  
22 discuss what needs to go into a proposal for a national

1 coverage decision at CMS.

2           This can include a discussion about what type  
3 of data points are needed by us at CMS for coverage  
4 determinations in the research protocols that are being  
5 developed. I think this is a very key point in the  
6 discussion which probably has been underemphasized, or  
7 under-utilized even, in the past but is very important to  
8 making more timely decisions and perhaps getting more new  
9 technologies to be covered than have been in the past.

10           There is then a benefit category request that  
11 needs to be made to the agency. That is, folks have to  
12 be able to be given a decision as to whether CMS, in this  
13 case for Medicare, actually covers the potential device  
14 or not, whether there is an actual benefit category for  
15 that. As we discussed yesterday, there are some things  
16 that are specifically excluded by statute.

17           As you go to the right on this middle tier of  
18 boxes, a formal request is made to us. As I said  
19 yesterday, you have to have some degree of information  
20 that would warrant us to move forward. That is, it can't  
21 just be a black box and say, we would like to cover this.  
22 You have to present some kind of information that it has

1 been tested and there is some relevance in the medical  
2 literature for us to be able to make a reasonable and  
3 necessary determination.

4           As you can see there, on a routine national  
5 coverage decision we go through six months where there is  
6 staff review, they draft a decision memorandum, and we  
7 post that.

8           The variation down below with the dotted lines,  
9 as you go downward, is that in some cases we don't  
10 believe that we have the necessary information and/or the  
11 subject expertise within the agency or within our direct  
12 consultants to make a decision or a proposed decision.

13 We seek outside technology assessment. You can see that.

14 It is done through AHRQ, as I mentioned yesterday, or  
15 through the MEDCAC, or through other entities.

16           After a decision memorandum is posted, there is  
17 30 days of public comment, which I believe is very, very  
18 important in our process and not replicated in many other  
19 coverage decision-making processes. Then we have 60 days  
20 after public comment is closed to issue a final decision  
21 memorandum, with instructions on how to implement that.

22           That is how the process works. The next slide,

1 Slide No. 4 on the 10-slide handout, is another process  
2 we did not discuss yesterday. Many of you are probably  
3 familiar with this, but for those who aren't, this is  
4 CED, or Coverage with Evidence Development.

5 In the past, if you look up at the top, on the  
6 left-hand side there is an oval box that says R&N.  
7 Again, we discussed the definition of reasonable and  
8 necessary yesterday. In the more remote past, it was a  
9 yes or no decision process, either yes, things were  
10 covered, or no, things were not covered.

11 In the more recent past, that was extended so  
12 that sometimes there was a yes but it was with  
13 conditions. For instance, you might have a technology  
14 that could only be implanted or provided to the patient  
15 in a specialized center that had passed certain criteria  
16 with CMS.

17 About four or five years ago, we introduced the  
18 concept of coverage with evidence development. It is a  
19 long, complicated story to talk about reasonable and  
20 necessary, but suffice it to say that, if you go down the  
21 left-hand schema here, we now have some instances where  
22 we determine that there is almost sufficient evidence to

1 say yes to coverage. It is just barely away from our  
2 standard criteria, but getting a little bit more  
3 information would tip things over the edge in a positive  
4 manner.

5           So we now have guidance documents out that  
6 allow us, in those instances where we need more evidence  
7 to be developed and/or where we are almost there and we  
8 just need a little bit more evidence, to make a yes  
9 decision, dependent on the gathering of more data,  
10 referred to as appropriateness data.

11           There are other situations where we can make a  
12 reasonable and necessary determination and the answer is  
13 actually no, we don't have enough evidence there to  
14 really say yes. However, the statute does allow us, if  
15 we prescribe specific data collection, usually through a  
16 randomized clinical trial but sometimes through registry  
17 collection of data, to in fact make a determination of  
18 yes coverage but only for people who would be going  
19 through a randomized clinical trial or some other  
20 specific, very defined data collection process.

21           Keep those two concepts in mind. If you would  
22 now go to Slide No. 5, the first question had to do with

1 timely evaluation of genomic technology options. For  
2 your consideration, I put down here some of the ways that  
3 we might be able to achieve more timely determinations.

4           The first is -- and if you would go back to  
5 that first diagrammatic scheme where there is a  
6 preliminary meeting -- there needs to be research  
7 coordination to define research output needs. I think,  
8 right now, FDA has certain needs for its determination of  
9 safety and efficacy. We have certain needs for  
10 reasonable and necessary. There may be other needs  
11 through the process, including determining payment  
12 amounts, especially as we get into cost effectiveness  
13 analysis going forward.

14           I said here in the bullet that we have to meet  
15 the statutory requirement of reasonable and necessary,  
16 but we do, we always have, and we should continue to  
17 consult with appropriate entities prior to any coverage  
18 decision-making. I think if we can coordinate and define  
19 what the research needs for the various federal, let  
20 alone private sector, entities are, it would make for a  
21 more efficient process so that people wouldn't have to  
22 redo research or come back and meet multiple times to try

1 to crop together other research that perhaps was not  
2 included in their own primary research process.

3           The second opportunity for more timely  
4 presentation, going back again to the schematic, would be  
5 to try to streamline that NCD process. I think this is  
6 not likely to cut the time frame significantly. The  
7 staff review time is the longest period of time that I  
8 have there, as well as the technology assessment times.  
9 I think, again, that trying to shorten those in some  
10 cases is possible, but we are only talking about  
11 shortening things by a month or two or three at best.  
12 That is probably not our greatest opportunity for  
13 shortening the timeline.

14           I do think the public comment is extremely  
15 important and needs to be preserved in whatever process  
16 we continue to have.

17           If you would go to Slide No. 6 on technical  
18 analysis and technology assessment, we could shorten the  
19 process by having a technology assessment done not just  
20 within the coverage decision process but up front,  
21 sooner. I think that in addition to defining research  
22 needs, as in the first bullet on the prior slide, we have

1 to think about whether we can do technology assessments  
2 sooner in the process and have those available at the  
3 beginning of the national coverage decision process. I  
4 think as we get into discussions about comparative  
5 effectiveness institutes and such that we might be able  
6 to include technology assessments sooner.

7           We talked about the difference between national  
8 coverage decisions and local coverage decisions  
9 yesterday. One of the possibilities here is to use the  
10 local coverage decision vehicle more frequently. We  
11 talked about pros and cons of LCDs. If people were to  
12 approach the local contractors earlier in the process and  
13 work with them in a more coordinated fashion, it could be  
14 that we could speed things up, also.

15           The flip to that, of course, is if you fall  
16 into the other camp that feels that local coverage  
17 decisions are not the most effective way to deal with  
18 things, we could consider in fact centralizing things at  
19 a national coverage decision institute, if you will.  
20 That is something to be considered and debated, as it has  
21 been, as I said yesterday, for the past few decades.

22           I think that we are going to increasingly get

1 into the need for comparative effectiveness of genetic  
2 screening, genetic testing, and genetic interventions --  
3 genetic therapies if you will -- so that comparative  
4 effectiveness and cost effectiveness will become very,  
5 very important in the process. Again, the sooner that  
6 can be done, potentially before the coverage process is  
7 even begun, it might help us.

8           We could lower the standards of evidence. That  
9 was alluded to in the first presentation indirectly, I  
10 think. Now we have very strict standards as to levels of  
11 evidence that need to be met. We could consider lowering  
12 those.

13           Finally, I think there is some confusion when  
14 people have to deal with private and public sector  
15 entities at the same time. I think discussions about how  
16 we might align both of those processes will be warranted.

17           Slide No. 7 gets into the second question, and  
18 that is potential coverage changes that we might have to  
19 consider at CMS. Again, I alluded to some of these  
20 yesterday. I think the first issue that we are going to  
21 have to discuss that might get into changes has to do  
22 with what is the definition of a screening test versus a

1 diagnostic test. In fact, should there be a change,  
2 which would require a statutory change, in the exclusion  
3 of screening tests in the Medicare program.

4 I think that this whole issue of preventive  
5 services and screening tests is rather murky. In fact,  
6 in some instances a diagnostic test can be a screening  
7 test. I think getting at that definition is something  
8 that we are going to have to do going forward. Whether  
9 we go so far as having Congress change its prohibition on  
10 use of screening tests remains to be seen.

11 However, as I put here, Section 101 of MIPPA,  
12 as I said yesterday, provides some relief of this. As  
13 you will recall, Congress has allowed CMS to make  
14 preventive services coverage decisions, including using  
15 cost effective analysis in those determinations going  
16 forward. So we don't have to rely on Congress to tell us  
17 that they have passed a law and a certain preventive  
18 service is covered. We can now begin that process by  
19 ourselves, without congressional approval.

20 Another area was to broaden the use of CED,  
21 coverage with evidence development. As you can see from  
22 the diagram, if we were to use CED more liberally we

1 would be opening up the possibility of covering some of  
2 the genetic testing or genetic interventions sooner  
3 rather than later, but with the requisite that additional  
4 information is going to be covered.

5           Just to finish up, we can review, update, and  
6 revise as necessary the National Coverage Decision  
7 Manual, the definitions, and the guidance documents that  
8 we have. Remember we talked yesterday about the NCD we  
9 have on cytogenetic testing and I showed you the rather  
10 antiquated language that is in there. I think we need to  
11 review all of those issues and bring them up to date.  
12 That gives us the opportunity to move forward.

13           We should do horizon scanning of the current  
14 genomics local coverage decisions, which we talked about  
15 yesterday a little bit, and determine whether there are  
16 some inconsistencies. We ought to do a national coverage  
17 decision on those that are inconsistent.

18           Should we in fact consider genomics as a  
19 perfect pilot situation where we try to have better  
20 coordination between the local carriers and CMS going  
21 forward.

22           Finally, this is a process that we have been

1 working on for the last three or four years. We have had  
2 many discussions with FDA about so-called parallel  
3 review. This gets back to my earlier point about the  
4 need to work together early in the process and instruct  
5 people on what they need to do in their clinical trials  
6 that would satisfy as many people as possible.

7 I will end there, Mara.

8 MS. ASPINALL: Bruce will join us as our next  
9 speaker, and then we will have questions for both.

10 **Presentation by Bruce Quinn, M.D., Ph.D.**

11 DR. QUINN: Thanks very much. It is great  
12 seeing you, also, Barry. Barry was my regional medical  
13 officer when I was a local medical director in  
14 California. It is great to be here together again.

15 Also, I have been reading SACGHS transcripts  
16 for years. The next time I read one it is going to be  
17 like those fairy tales where you appear inside the story.

18 [Laughter.]

19 DR. QUINN: I have a few comments. I'm going  
20 to use the same framework that Barry used.

21 From my perspective, having been a local  
22 medical director, this question that we cover diagnostic

1 tests with science and symptoms of disease but we don't  
2 cover screening tests actually can be very murky in  
3 practice, or simply very illogical.

4           For example, there is a benefit that if you are  
5 African American or have a parent or grandparent who is  
6 African American, you get an automatic glaucoma screening  
7 benefit, even though your elevated risk for glaucoma is  
8 probably just a few percent, if it is even measurable.  
9 If you have six relatives with glaucoma and a parent with  
10 early-onset glaucoma, you can't have a test for glaucoma  
11 unless you are starting to lose your vision.

12           So if you have a 70 percent chance of having  
13 glaucoma, you can't have the screening test. If you have  
14 a 2 percent chance of having glaucoma, you can. So there  
15 are times when it is inconsistent.

16           Another example is the BRCA gene. In actual  
17 clinical management, if a woman has six relatives with  
18 early-onset breast cancer and she has a peanut-sized lump  
19 at age 35, it is going to be managed in the context of  
20 her family history, but the BRCA testing can't be managed  
21 in the context of family history yet. So there are some  
22 things that just don't make sense.

1           There is actually an interesting story. When  
2 we talk about how much evidence we need, and we hear that  
3 at conference after conference, no one ever asks how you  
4 define evidence. Is it in meters, cubic yards, pounds,  
5 joules, miles per gallon? There is no quantity or number  
6 for evidence, yet we say, how much evidence do you need,  
7 and we need to define the evidence.

8           There is an interesting parallel between "safe  
9 and effective" at FDA and "reasonable and necessary" at  
10 CMS which I just noticed in the last couple months.  
11 Congress gave Medicare the phrase "reasonable and  
12 necessary" in 1965. We are constantly trying to  
13 understand how to apply it.

14           Three years earlier, Congress gave FDA the  
15 phrase "safe and effective." They immediately had to  
16 apply it and immediately shift it into rulemaking and  
17 guidance documents. They revised their definition of  
18 "safe and effective" several times, particularly in '69  
19 and '70, and then they moved into other things, like fast  
20 track and accelerated approval and so on, in the next  
21 decades.

22           I think one of the differences is, Congress

1 gave them more than a phrase. Congress said safe and  
2 effective means that there is reasonable assurance  
3 through a substantial body of evidence for a panel of  
4 experts that the claims being made for the product are  
5 correct. That paragraph actually makes it much easier to  
6 move forward, as the FDA did.

7           Also, the FDA had to make its judgment all the  
8 time. Every month there is a new application packet and  
9 a new decision. When Medicare started in 1965, there  
10 were billions of claims flowing through. Most of them  
11 just flow through and you don't have to make a coverage  
12 decision on all of those claims. It crept up, like  
13 putting the frog in the pot of cold water that becomes  
14 boiling water, while the FDA was confronted with its  
15 problem in its face right away.

16           There is also a third standard which is used in  
17 some contexts at the FTC and FDA which is called  
18 "scientifically reasonable." I think "scientifically  
19 reasonable" changes depending on the context. There are  
20 things like the K-RAS studies in colon cancer where there  
21 are several retrospective trials with retrospective  
22 analysis that virtually everyone agrees give the right

1 answer. In fact, it wouldn't be ethical to do a new  
2 forward-looking randomized control trial.

3           So the level of data you use has something to  
4 do with the way people interpret the data. The wonderful  
5 anecdote there is from a woman named Hack at the  
6 University of Miami. She points out that if you go back  
7 to the Watson and Crick original paper, they had five  
8 kinds of evidence. Put together, it made it clear that  
9 DNA was a double helix. Any one of those pieces of  
10 evidence was entirely inconclusive. It is only writing  
11 the five together and putting it in the brain of Watson  
12 and Crick and the brain of the reader that it becomes  
13 obvious that DNA was a double helix. Evaluating medical  
14 decision for reasonable and necessary is often like that.

15           The other topic was making the process faster  
16 or more reasonable. Everyone has talked about the  
17 reimbursement issue. There are fixed prices for  
18 molecular lab tests, \$10 or \$20 per step, and it is not  
19 rational. For a while I thought maybe that is the market  
20 power of Medicare. It is a monopoly, it has a lot of  
21 market power, it must be a natural process.

22           It is not actually market power. Those prices

1 were fixed in 1984, generally. It is price fixing, which  
2 is a different thing than having a lot of market power.

3           John Kenneth Galbraith wrote a book about price  
4 fixing during World War II. The biggest problem they had  
5 was not a black market, it was dealing with innovation.

6 Their innovations weren't things like new cell phones and  
7 new plasma screen TVs, but it was innovation in clothing.

8 Clothing was not the same year to year. There were new  
9 styles, new brands, new fabrics. It drove the price  
10 fixers bananas.

11           With lab tests, we fixed most of the prices in  
12 1984. There is no relation to value at all.

13           Now, sometimes that works. People can make a  
14 genomic kit for Warfarin testing. I'm making up numbers,  
15 but let's say the kit costs \$50 and you sell it to the  
16 hospital and they code-stack it to \$100 or \$200, and it  
17 actually works, with the overhead, the management, the  
18 legal costs, and so on.

19           There are other things where it doesn't work at  
20 all. I'm constantly running into companies that have  
21 some tests they could develop. Maybe it would cost \$200  
22 to amortize their costs, but they know Medicare would fix

1 the price at \$15 or \$20. They would grossly lose money  
2 on it, even if that item would save \$2,000 every time it  
3 was used. The system is so irrational that we don't see  
4 things that people aren't developing.

5           We all remember the Sherlock Holmes story about  
6 the dog that didn't bark. They knew somebody had to be  
7 the murderer because nobody went out a certain door  
8 because the dog outside that door didn't bark. The dog  
9 that doesn't bark usually doesn't get much attention.  
10 All these things that could be developed at a somewhat  
11 higher price point and save money, can't be developed  
12 because of the fixed fee schedule.

13           Of course, there are times when it works.  
14 Troponin testing has huge value in quality-adjusted life-  
15 years, I'm sure. The pay is \$15. So we are caught with  
16 things that work on the \$15 or \$20 fee schedule and  
17 things that aren't being developed that would actually  
18 save money.

19           When I see the multi-trillion dollar health  
20 care deficit, I keep thinking about Clayton  
21 Christianson's work at Harvard on disruptive innovation.  
22 Things get so expensive that it creates room for people

1 to come in underneath with cheaper cost with a disruptive  
2 technology. In genomics, this enormously important field  
3 with a \$15 fixed freeze, we are not letting people do  
4 that, come in and save money, although it is below  
5 current costs but above \$15.

6 I think that is one of the paradoxes that we  
7 really need to address, whether it is by congressional  
8 action, a special committee, or a working group. So many  
9 people have talked about that. It is time to move  
10 forward.

11 The last point I will make is, I realized that  
12 as a Medicare carrier we couldn't change the fee schedule  
13 and pay doctors more but we could try to run the  
14 operation to make it as easy as possible for doctors.  
15 Lost checks and erroneous claims processing all raise the  
16 doctors' costs. Even though we couldn't raise the fee  
17 schedule, we could try and reduce those costs for the  
18 doctors.

19 Occasionally, Medicare has come out with rules  
20 that someone thought looked good in a narrow context but  
21 were very counterproductive in society.

22 One of the things we have today is much more

1 complicated but high-value genetic testing. Just like  
2 the enabling technology for genomics is actually  
3 robotics, the enabling technology for these tests is  
4 actually Fed Ex. We can get a sample from any place in  
5 the country to the lab that does it overnight. You can  
6 have institutions like XTX and Genomic Health.

7           The problem is a rule that Medicare came up  
8 with very recently called the 14-Day Rule. If a hospital  
9 draws a specimen, for weeks into the future the hospital  
10 is responsible for any lab tests on that specimen. It  
11 doesn't work in the modern system.

12           You can have a breast biopsy in Sioux City,  
13 Iowa. The patient goes to Mayo Clinic for a cancer  
14 chemotherapy. They need to run some special tests in the  
15 Mayo Clinic. St. Mary's in Sioux City has to pay for  
16 those tests.

17           Then, maybe the patient also needs a gene panel  
18 test or a tumor of unknown origin test, which is done in  
19 California. Mayo then sends it to California, and again  
20 St. Mary's Hospital in Sioux City, Iowa, is expected to  
21 pay for it, even though they have never heard of the  
22 doctor at Mayo Clinic, don't know anything about the

1 patient's management, and don't know anything about where  
2 the test is.

3           One of the problems the hospital runs into is  
4 then they are responsible for future audits and  
5 recoupments on that payment. If they are later audited,  
6 they have to produce medical records from Mayo Clinic or  
7 anyplace else the patient went to. They have to produce  
8 the lab reports three or four years in the future from  
9 the lab in California, which might not even still be in  
10 business.

11           I would say it actually made more sense the way  
12 we did it up until 2008, where the lab was responsible  
13 for billing and the lab was responsible for recoupment.  
14 I have a lot of interest in program integrity in  
15 Medicare, which means recoupment. It was actually easier  
16 when the money stayed at the lab because you could go to  
17 the lab, audit 1,000 claims, and take a million dollars  
18 back. It actually has financial advantages for Medicare.

19           Those are some of the things I have seen. I  
20 think it is definitely true that more national coverage  
21 decisions, as Barry supports, would be a good idea. We  
22 actually had several tests in California that I referred

1 for national coverage decisions, none of which had been  
2 taken up yet, but Medicare may still cycle back to those  
3 and come to them. Thank you very much.

4 MS. ASPINALL: Thank you, Bruce. Barry, if I  
5 may ask you to come up. Bruce, if you can stay? Let's  
6 have a few questions before we go to our public-private  
7 payers panel. Jim?

8 **Question-and-Answer Session**

9 DR. EVANS: Once again, Barry, you have shed  
10 some light on the black box of CMS. Thank you.

11 One of the things I was wondering about that  
12 struck me, listening to you describe how difficult it is  
13 to come to these decisions, is that our country isn't in  
14 this alone. We may be uniquely fragmented and have  
15 unique challenges that make it difficult, but it does  
16 seem like many of these things must be being debated in  
17 many other countries. Is there a way to take advantage  
18 and not duplicate all of that work and inform those  
19 decisions?

20 DR. STRAUBE: I think there are two responses,  
21 Jim, to your question. One, if you are asking whether we  
22 entertain results of decision-making processes that have

1 occurred in other countries, or the other analogy would  
2 be commercial health plans, the answer is yes. It is not  
3 a primary piece of decision-making, but it educates us  
4 and may influence the final decision, especially if we  
5 were to see that many carriers or payers were not  
6 covering a certain technology and had good reasoning  
7 behind that to support what we had already come up with.

8           That is one setting. We do look nationally and  
9 internationally at decisions that are made for whatever  
10 technology we are looking at.

11           If the other part of the question is, should we  
12 be looking particularly internationally to other models  
13 for coverage decision-making, the answer to that is yes.

14 I think that will be a major part of the debate for  
15 health care reform coming forward.

16           You have several articles in your packets that  
17 get at some of this, but you can look at NICE in the U.K.

18 We have had a relationship with them for many, many  
19 years, sharing information and sharing concepts about how  
20 we do our various coverage decision processes. I think  
21 they are very intrigued by the coverage with evidence  
22 development. We are very intrigued with some of their

1 comparative effectiveness decision-making that they have  
2 been doing for years. I think that needs to be debated  
3 in the public sector as we go forward.

4 MS. ASPINALL: Kevin.

5 DR. FITZGERALD: I'm intrigued, as we wrestle  
6 with how this is going to go forward, that certain words  
7 come up constantly, like "benefits," "harms," "the  
8 calculation," "the balance," and all that sort of thing.  
9 They keep being raised, but one of the things I want to  
10 come back to is this whole concept of what is the  
11 benefit.

12 With personalized medicine, as we were starting  
13 to get into, let's take lung cancer as an example. Let's  
14 say somebody says, look, I have had my genome sequenced  
15 for \$1,000 and I found out that I'm resistant to lung  
16 cancer. I'm not likely to get it, even if I smoke. So  
17 you should let me smoke and this should not be something  
18 that is held against me as far as my health is concerned,  
19 except when we have the public concern of secondhand  
20 smoke. There are other people around that might be  
21 affected by it.

22 Or, let's say we find that somebody actually is

1 susceptible to type II diabetes from weight gain. Is  
2 that a personal responsibility of the person to address  
3 that with preventive medicine? Are we going to reimburse  
4 somehow along the lines of whether or not that person, or  
5 the employer, or whomever, takes responsibility? Are we  
6 going to shut down McDonald's? I have no stock in any of  
7 these companies, obviously.

8 [Laughter.]

9 DR. FITZGERALD: As we go forward and this glut  
10 of information comes out, how are we going to build in  
11 processes that are going to take that into consideration?

12 Are we going to take into consideration the  
13 very practical issue of mistakes in hospitals and the  
14 idea of cutting back reimbursements if the hospitals  
15 aren't taking care of the fact that they may be  
16 transmitting drug-resistant pathogens around? Obviously,  
17 getting adequate sleep for the health care professionals  
18 that are involved in that has an effect on that. Do we  
19 take that into consideration? How do we structure these  
20 kinds of things?

21 My concern is that personalized medicine is  
22 going to open this floodgate of information. I like what

1 you have put down here about a way to more rapidly  
2 integrate that, but I'm just wondering if we indeed are  
3 really preparing for that and trying to look ahead, as  
4 Mara is doing? Or, are we still too focused on the short  
5 term and what we are putting together now is not going to  
6 be adequate in even five or 10 years?

7 I know it is another easy question. I  
8 apologize.

9 DR. QUINN: I will let you take that.

10 [Laughter.]

11 DR. STRAUBE: I think, Kevin, I can answer it  
12 in a couple of steps. First, I haven't mentioned the  
13 overall financial status right now. For the Medicare  
14 program, as you probably know, we spend about \$700  
15 billion a year right now. The Medicare Trust Fund  
16 revenues are now less than the outflow.

17 The CMS actuary has estimated that it will be  
18 2016 when the Medicare Trust Fund will be depleted, if we  
19 don't change something quickly. That will be within  
20 President Obama's administration if he gets a second  
21 term. So this is unequivocally something that will be  
22 paid attention to during probably this term, or at least

1 leading up to the election.

2           Actuaries have estimated that in order to  
3 correct that problem and make the trust fund whole over  
4 the next 30 years you would have to raise premiums  
5 immediately by 120 percent or you would have to reduce  
6 benefits immediately by 50 percent, or some combination  
7 thereof. Those two things are not likely to happen.

8           I'm saying this because whatever we do is going  
9 to be in the context that we are running out of money to  
10 pay for basic services, let alone, in some cases, to  
11 gather information which may be, arguably, discretionary.

12          In a personalized medicine context, it may be very  
13 important to the individual to have that kind of  
14 information. That complicates the field.

15           The second point, as I said yesterday, right  
16 now it is pretty black and white. For our reasonable and  
17 necessary, it has to lead to improvement in outcomes.  
18 Whatever we do in the process of covering something, it  
19 has to lead to improvement in outcomes for the affected  
20 individual. So for now, our marching orders are pretty  
21 clear.

22           What you are suggesting is Point No. 3, and

1 that is, there may be these situations where it is not  
2 clear from a societal standpoint that outcomes are going  
3 to be better but from a patient's individual standpoint,  
4 they want to know as much information as they can, even  
5 if it is like flipping a coin in terms of the outcomes to  
6 that.

7 I think that is where the rubber really hits  
8 the road. To what extent are we going to diverge from  
9 population health principles, where we are doing the  
10 greatest good for the greatest number of people, to in  
11 fact allowing exceptions for individuals. In this case,  
12 it is probably more for information. I don't have the  
13 answer to the last one.

14 MS. ASPINALL: Steve, then Gurvaneet, and then  
15 we will conclude this session.

16 DR. TEUTSCH: Barry, you raised the issue, of  
17 course, of paying for all of this going forward. Most of  
18 the technologies that get introduced, even the ones that  
19 are highly cost effective, are still cost additive.  
20 Given the challenges that you just talked about and the  
21 constraints on using cost effectiveness within the  
22 Medicare program, which as you pointed out was limited to

1 some fairly specific uses, how do you think this can move  
2 forward so that you can balance those public needs and  
3 the public good and keep us solvent?

4 DR. STRAUBE: Steve, I think that, first, the  
5 Recovery Act that just passed, as you know, has three  
6 major components in the health care arena. One is  
7 adoption and use of health information technology. The  
8 second is a whole series of prevention and wellness  
9 issues. There will be some attention paid to  
10 personalized medicine, I think, and the expenditure of  
11 those monies.

12 The third area has to do with comparative  
13 effectiveness, which NIH and AHRQ in particular are going  
14 to be leading but all the rest of HHS is involved with.

15 I think comparative effectiveness is going to  
16 happen in the next several years, where there will be,  
17 certainly at the federal level, use of comparative  
18 effectiveness research. I don't see how we can do  
19 comparative effectiveness research without incorporating  
20 and dealing with the question of cost effectiveness, in  
21 this economic environment especially. I think that that  
22 is going to happen and we will end up answering some of

1 these questions.

2           There might be a good example, though, of  
3 Section 101 of MIPPA. We just completed and issued a  
4 national coverage decision on the use of CT colonography  
5 for use in the prevention of colon cancer. Our  
6 determination on that -- and it is out for public comment  
7 right now, so people can make comments on this. We have  
8 not made a final determination yet -- was that the  
9 literature didn't show that there was any advantage over  
10 existing technology in terms of using CT colonography.

11           We felt that the literature also didn't  
12 specifically address the Medicare population. If you are  
13 doing this in 70- and 75-year-olds, are you in fact  
14 creating more problems by picking up asymptomatic polyps  
15 that will never get to be cancer. You are then  
16 subjecting them to unnecessary tests and such.

17           The final thing was, to be cost effective  
18 compared to existing technology -- and we did not deny  
19 the use of CT colonography for specific diagnosis -- we  
20 would have to have the reimbursement from what we are  
21 paying currently. I don't think that the providers would  
22 find that to be acceptable on their end.

1           That is an example of what we are already  
2 dealing with, insofar as the statute limits us, with  
3 these issues. I think it is going to explode into  
4 everything.

5           MS. ASPINALL: Gurvaneet.

6           DR. RANDHAWA: Thanks. I have two questions,  
7 one for Barry and one for Bruce.

8           I'm not an expert in GINA, but my sense of GINA  
9 is that there is a prohibition of using genetic  
10 information in coverage decisions. If that is right, is  
11 there a potential for limitations in how coverage with  
12 evidence development is done for genetic tests when, by  
13 definition, we need to have some outcome information from  
14 the genetic test before a final coverage decision is  
15 made?

16           DR. STRAUBE: Gurvaneet, thank you. Our  
17 understanding of GINA is such that we can still gather  
18 genetic information through clinical trials and so forth.  
19 We obviously have to respect the privacy components of  
20 GINA, but we think we can do that.

21           DR. RANDHAWA: For Bruce's presentation, I  
22 wasn't very clear. You had mentioned cost of the tests

1 as being a critical factor, and many of the tests are  
2 being charged \$15 or \$20. I wasn't sure the difference  
3 between cost and value was brought out in your  
4 presentation. There can be tests that can be offered for  
5 free but still may not be cost effective or of value  
6 because of the downstream interventions that may not be  
7 effective.

8           On the other side, there are certainly many  
9 genetic tests, especially in breast cancer, that are in  
10 the several-thousand-dollar range.

11           So, I just wanted to make sure. I got the  
12 sense that you were distinguishing cost from value in  
13 your presentation.

14           DR. QUINN: I was thinking mostly of cost. If  
15 you have a \$500 procedure that could be replaced by a  
16 \$100 test, yet the fixed fee schedule for the test is  
17 \$10, then nobody will develop the \$100 test to save you  
18 the \$500 thing.

19           I know cost effectiveness can have different  
20 value depending on where you draw the circles around the  
21 service and the assumptions you use, but there are some  
22 things that are cost effective under nearly any

1 assumptions. That is where I was going. That would be a  
2 place to start.

3 MS. ASPINALL: Thank you. We appreciate that.  
4 That was terrific. Now we are going to hear from the  
5 other pillar of the payer community, which are the  
6 private health insurance companies.

7 Today we have two speakers, Sam Nussbaum and  
8 Joanne Armstrong. Both of these folks are leaders not  
9 only within their own companies but within the industry  
10 more broadly. Let me introduce them. Joanne will give  
11 the first presentation, and I will control the slides  
12 here. Then Sam will do that, and then we will continue  
13 to have this multimedia and have Joanne on the phone.

14 Let me start out just introducing Joanne, who  
15 is the senior medical director for Aetna. She is, again,  
16 an M.D. and M.P.H., and has a strong background in both  
17 public health and epidemiology. She heads up the Women's  
18 Health and Genetics Unit for Aetna, and has been a  
19 frequent speaker both nationally and internationally on  
20 the area.

21 Joanne, thank you for your willingness to do  
22 this. We are all set. We are on the cover. Let me know

1 when you want to go to the next slide.

2 **Perspective of Private Health Insurance Companies**

3 **Joanne Armstrong, M.D., M.P.H. [via speakerphone]**

4 [PowerPoint presentation.]

5 DR. ARMSTRONG: Terrific. Good morning,

6 everybody. I'm sorry I have added extra complexity by

7 not being there and going through it this way, but I do

8 appreciate the opportunity to share our perspectives

9 about driving value in personalized medicine.

10 I'm on the second slide, Mara.

11 I think Rob spoke about this this morning, but

12 we can't say it often enough. Personalized medicine is

13 emerging at a really critical time in the delivery of

14 health care in the United States. The challenges are

15 well known to this committee and the people who are

16 attending there.

17 Primarily, the cost of health care has outpaced

18 our ability to pay for it. There are wonderful

19 technologies, wonderful opportunities, but they are just,

20 in aggregate, expensive. We simply don't have the money

21 for all of it.

22 What we do know in the delivery of health care

1 is that needed care is often not delivered. There are  
2 more than 40 million individuals without health insurance  
3 in the U.S. Delivered care is often not needed or is of  
4 poor quality, and that has been very well documented by  
5 the IOM and others. And then, needed and appropriately  
6 given care is often ineffective. We know of lots of  
7 examples of that. Only half of the patients who initiate  
8 antidepressant therapy experience some significant  
9 reduction in symptoms.

10           It is in this latter area, where needed and  
11 appropriately delivered care is ineffective, that I think  
12 personalized medicine has some of the most promise. The  
13 concept that you can deliver the right care to the right  
14 person at the right time has the potential to improve the  
15 efficiency of care, certainly the safety of care, and the  
16 cost effectiveness of care. I think that is certainly  
17 where health plans are beginning to focus their  
18 attention.

19           The next slide is just to illustrate that the  
20 size of this opportunity in personalized medicine is vast  
21 because of this increasing appreciation that all areas of  
22 medical care and a lot of things that we now consider

1 social problems have some genetic link.

2 Slide No. 4 is a snapshot of what personalized  
3 medicine looks like when you just look at the activity in  
4 this space. Everyone is familiar with these graphs that  
5 come out of gene tests. I think every year we marvel at  
6 the height of the curves that come out in terms of the  
7 new tests that are available.

8 We see about a 10 percent increase in the new  
9 testing that is available year over year. In our own  
10 data, we have looked at the utilization of genetic-based  
11 testing, and that increases by about 20 percent per year.

12 So there is significant interest on the part of  
13 clinicians and patients to use these technologies.

14 This slide also illustrates what the challenges  
15 are in staying on top of what the open space is to  
16 support coverage, reimbursement, and clinical use of  
17 these tests.

18 Slide No. 5 is a look at what the emerging cost  
19 information is in the area of genetic tests. In  
20 aggregate, the total spending in genetic diagnostics is  
21 still very small, less than 0.5 percent of total medical  
22 spending, but the trends are significant. We see in

1 Aetna data that the cost trends are about 20 percent per  
2 year. We have been tracking these for the last four or  
3 five years or so, and that trend rate continues.

4           We are also seeing the emergence of some  
5 breathtaking prices in diagnostic testing, \$3- and \$5,000  
6 each. We certainly recognize that many of these are also  
7 currently on the market at \$20 and \$100 a test. That is  
8 not a statement about value, simply a comment that many  
9 of these are coming on the market at really significant  
10 price tags.

11           Much of this pricing is said to be based on  
12 value and value-based reimbursement. I will comment here  
13 that there is just scant literature that actually links  
14 price to value. I don't think we have really defined  
15 what value looks like, but we do see, from a marketing  
16 perspective, the language that these price tags are based  
17 on is value. I will just comment that there is not  
18 really evidence that that is the case.

19           On the next slide, the diagnostics, of course,  
20 are increasingly being linked to therapeutics and to  
21 companion diagnostics. Most of these are the biological  
22 therapies. We are seeing similarly high unit costs and

1 trends in this area. The biologic cost trends are about  
2 17 percent, and they have been running at about that rate  
3 for years. That compares to non-biologic drugs that are  
4 about half of the price. That is, again, not a statement  
5 of value, just the reality of what the trends look like.

6 From a prescription cost, again, therapy costs  
7 are coming in at \$50,000 a drug or \$200,000 a drug. It  
8 really is incumbent upon us to understand what consumers  
9 are getting for some of the costs that are coming in.

10 As to the slide with the cartoon, I think this  
11 is like a Rorschach test for where you see genetics or  
12 personalized medicine going; will it actually deliver on  
13 its promise of improving quality, safety, and the cost  
14 effectiveness of delivered care, or will it just be  
15 additive medical cost with marginal health care gains.

16 From an Aetna point of view, we have been  
17 watching this and trying to plan it carefully over the  
18 last three or four years. I think many health plans are  
19 doing the same. We do recognize the inherent value in  
20 the approach of right person, right drug, right time, but  
21 given the amount of technologies that are just pouring  
22 into the market, there is the possibility of a collision,

1 so it does need to be planned for carefully.

2           On the next slide, I have highlighted probably  
3 four of the many challenges that we are facing in trying  
4 to effectively integrate personalized medicine and  
5 genetic-based medicine into medical care. There are many  
6 more than this, but I think these are the four high-level  
7 problems or issues.

8           The first one is to ensure that the evidence  
9 base for these technologies is strong, both to support  
10 the coverage and reimbursement for the technologies but  
11 also to prioritize which of these technologies are  
12 introduced into clinical practice and that they are used  
13 well.

14           The second challenge is the need for clinical  
15 and economic outcome data that demonstrate the value of  
16 personalized medicine strategies compared to the status  
17 quo. That is critical. I think comparative  
18 effectiveness research will help there, but there are,  
19 again, many more examples than what the capabilities will  
20 be in comparative effectiveness research.

21           The third major challenge is the need for  
22 decision support tools for clinicians and consumers and,

1 quite frankly, health plans and everybody else who uses  
2 these technologies, to make sure that they are used in an  
3 effective manner.

4           The fourth challenge is to look again at the  
5 CPT system for laboratory testing. Many people have  
6 spoken to this today, but it is a really important one.  
7 The current system does hinder our ability to use genetic  
8 tests and to really look at our data and understand the  
9 activity that is taking place there.

10           That information could help us plan medical  
11 management strategies from a health plan perspective and  
12 work in the area of reimbursement strategies, including  
13 value-based reimbursement, if we intend to do that, or  
14 even things like coverage with evidence collection. How  
15 do you actually plan and allow for that in your data if  
16 you don't have the suppleness in the reimbursement system  
17 to allow for and enable that.

18           Finally, I think Rob and many people have  
19 spoken to the potential utility of all the data that we  
20 have in our systems for various types of research  
21 activities. We are hindered by the lack of specificity  
22 of the coding to really identify the testing that is

1 being done and the clinical conditions that it is being  
2 used for.

3 I'm just going to take us into a little more  
4 detail around these four challenges. The first one is  
5 from a coverage and reimbursement point of view. From a  
6 health plan perspective, it is really critically  
7 important that the technologies that are covered and that  
8 are promoted and used have a strong evidence base.

9 The coverage policies that guide reimbursement  
10 for genetic and personalized medicine technologies are  
11 the same as for all other technologies. Specifically,  
12 the services need to relate to the prevention, the  
13 diagnosis, and the treatment of an illness.

14 There is significant interest in information  
15 utility in genetic tests. A lot of the boutique genetic  
16 tests I think fall into that. Some of them that are not  
17 boutique tests but have a longer-term potential  
18 implication, like APOE-4 testing for Alzheimer's, I think  
19 are examples of tests that, while they may have personal  
20 information utility for financial planning needs, et  
21 cetera, are currently not related to prevention,  
22 diagnosis, and treatment of illness and so are not

1 covered in a reimbursement environment.

2           Secondly, the information needs to affect the  
3 course of treatment of the member, the care and/or  
4 treatment needs to be likely to improve health outcomes,  
5 the improvement should be attainable outside of  
6 investigational settings, and importantly, the services  
7 need to be consistent with plan design. I don't think  
8 there has been a lot of activity in plan design in  
9 genetics. I'm not sure that that is going to happen.

10           I think that it is a risk if these technologies  
11 come in priced at very, very high levels. I will just  
12 put that out there as a potential future issue to watch.

13           In terms of the evidence standards that are  
14 required for the coverage of genetic or personalized  
15 medicine technologies, the summary is that it is similar  
16 to all other technologies. For the early years of  
17 personalized medicine discussion we talked about whether  
18 there should be an exceptional status for genetic  
19 technologies. I think there is a greater consensus that  
20 the answer to that is no.

21           The evidence standards are information from the  
22 published peer-reviewed scientific evidence. That

1 permits conclusions concerning test performance and the  
2 effect on health outcomes, specifically analytic  
3 validity, clinical validity, and clinical utility. I  
4 think a legitimate question is, what is the evidence  
5 standard. What is sufficient versus optimal. More  
6 conversation needs to take place in that area.

7           We look for the final approval from the  
8 appropriate governmental regulatory bodies when it is  
9 required. I think this is a challenge in the area of the  
10 diagnostics, where it is not required for almost all of  
11 it on the market. What that means is that we, as health  
12 plans, do much more technology assessment than any of the  
13 medical professional bodies or the governmental health  
14 agencies that are potentially tasked with this. I think  
15 certainly in the short term we will be doing this. It is  
16 not necessarily by choice but by necessity.

17           Finally, the covered services need to  
18 demonstrate improved net health outcome and be as  
19 beneficial as an established alternative. This is where  
20 comparative effectiveness has a role to play.

21           Slide No. 11 is a little bit outdated, but it  
22 speaks to the disconnect between the conversation we have

1 in this field about value-based activity and what we  
2 actually know about the value of the technologies that  
3 are on the market. This slide is a summary from  
4 Katherine Phillips' work in pharmacoeconomics. It looks  
5 at the cost effectiveness of targeted therapies. There  
6 are very few that have been systematically studied. The  
7 outcome data on it is next.

8           It is a fair question, what is value. We don't  
9 have the answer to it. I don't think that we have really  
10 had a decent conversation about what it should be,  
11 whether it is cost effectiveness, the balance of risks  
12 versus gains, et cetera.

13           From a health plan perspective, while there may  
14 be a perception that we do these analyses on every  
15 technology we cover, the reality is that they are done on  
16 a tiny minority of the services that are covered, simply  
17 because there is very little data. We ourselves are  
18 challenged with the resources to do this type of work,  
19 and where we do it, in many ways we do it to figure out  
20 where we are going to prioritize our own activities and  
21 whether some of these technologies would warrant being  
22 incorporated into utilization management, disease

1 management, and other types of programs.

2 Slide No. 12. I won't go into much detail  
3 here. I understand it was discussed yesterday. Suffice  
4 it to say, there are significant challenges in delivering  
5 decision support tools for both consumers and for  
6 physicians to use this effectively.

7 Then the coding issue is on Slide No. 13. That  
8 we have talked about before.

9 We are sensitive, on Slide No. 13, to the  
10 privacy issues. Health plans and AHIC have been very  
11 active both in supporting GINA and now in support of the  
12 regulations around GINA. We are aware that consumers'  
13 confidence to share this information is based on the  
14 confidence they have that it will be used appropriately.

15 Then there is the graphic on how you actually  
16 use all this data aggregated together to help consumers  
17 and physicians make decisions. Rob talked about this in  
18 a pharmacy setting. I will say that Aetna, WellPoint,  
19 and others are doing this already in a broader pharmacy  
20 and general medical setting in total.

21 This is an example of Aetna's personal health  
22 record. It takes all the data that is available. You

1 will see that on the left-hand side of the schematic  
2 there. It is laboratory data, member self-reported data,  
3 all the administrative data that we have. We aggregate  
4 that into basically a big data aggregator and on top of  
5 that apply rules about what best practices are. Those  
6 rules come from the evidence-based literature, from the  
7 guidelines of medical professional societies, from the  
8 FDA, and from governmental bodies that speak to these.

9           We apply these rules looking for gaps in care.  
10 We look for under-use of services, drug-drug  
11 interactions, et cetera. Then we send those messages  
12 out.

13           This type of personalized medicine is taking  
14 place today already. The question is, how do we  
15 personalize it in a genetics context. What you see in  
16 orange on the slide are the areas that we need to do more  
17 work in, particularly on the rural side. In order to  
18 message to patients we have to be confident that the  
19 content that we are messaging to them is appropriate.

20           The final slide, Slide No. 16, are the  
21 priorities from a health plan perspective on where we  
22 should be going in this area. Just to restate, we need

1 to strengthen the evidence basis for these technologies,  
2 these more than 1,400 type tests that are available. We  
3 need to review the evidence framework to support coverage  
4 policy, specifically looking at ideal versus sufficient  
5 data to make coverage decisions; are we asking the right  
6 questions.

7 We need to generate outcome data that helps us  
8 identify value so that we can both prioritize it and help  
9 support these services that we are covering.

10 We need to promote physician and consumer  
11 engagement and decision support tools to push this  
12 information to providers and to patients. It is  
13 impossible, as Rob said, to read 20,000 journal articles  
14 and synthesize and integrate it into your own clinical  
15 decision-making. Other entities are going to be needed  
16 to help with this work.

17 I will stop there. Thank you for your  
18 interest.

19 MS. ASPINALL: Joanne, thank you. With that,  
20 our next speaker is Sam Nussbaum, a new member of the  
21 Committee. He is executive vice president and chief  
22 medical officer of WellPoint. Sam.

1                   **Presentation by Sam Nussbaum, M.D.**

2                   [PowerPoint presentation.]

3                   DR. NUSSBAUM: Thanks, Mara. I'm delighted to  
4 be with all of you this morning and to be a new member of  
5 the Committee. I want to build on what was shared I'm  
6 sure yesterday and then earlier this morning. Like a  
7 tale of two cities, we do live in the best of times and  
8 the worst of times.

9                   This is the best of times because all of this  
10 extraordinary new genetic and biological information  
11 should lead us to personalized medicine that is timely,  
12 that improves health and health outcomes. We have  
13 breathtaking advances in medical technologies.

14                   Yet it is the worst of times because we have  
15 care that is unaffordable. Half the time we don't get  
16 the appropriate care. We have too many medical errors.  
17 We need desperately to transform our health care system.

18                   When we look at what the drivers of health care  
19 costs are, the key driver in many ways is advancing  
20 medical technologies applied to an aging population with  
21 chronic illness. If we could manage that with better  
22 care coordination and better use of evidence-based

1 medicine, that is our greatest opportunity to immediately  
2 control cost.

3 I believe it is so necessary to immediately  
4 control cost because that will leave us the head room for  
5 innovation, the biology, and the opportunity that we are  
6 talking about.

7 If we look at what the Institute of Medicine  
8 has done and the new legislation on comparative  
9 effectiveness research, we have an opportunity to  
10 determine what really works in health care. We need to  
11 understand this to balance this against these  
12 extraordinary costs.

13 In this slide, I share with you some of the new  
14 biological therapies we are using to treat cancer,  
15 rheumatoid arthritis, and multiple sclerosis. These are  
16 drugs that are no longer several thousand dollars a year  
17 but treatments that are \$30- to \$50,000, in some cases  
18 several-hundred thousand dollars.

19 There are over 600 specialty drugs still in  
20 development, in addition to the large number we have  
21 today. Part of our understanding of the new biology,  
22 personalized medicines, and genetics, is how to use this

1 approach.

2           Let's take a look back a few decades ago when  
3 bone marrow transplantation was thought to be the best  
4 treatment for women with breast cancer. It took a decade  
5 before we realized that billions of dollars were spent on  
6 a treatment that did not work and there was no difference  
7 in survival. Think of the pain for these women and their  
8 families as the women were undergoing therapy, and think  
9 of the delay in developing more opportune and better  
10 therapies.

11           Today, how do we approach this. We approach it  
12 biologically and genetically. Women whose tumors express  
13 certain receptors are candidates. They should be treated  
14 with Herceptin. We want to be sure of the new models to  
15 make sure that if this treatment can work in the  
16 biological setting of women's breast cancer that they  
17 receive it.

18           Think about where health plans were two decades  
19 ago, opposing these mandates, which in retrospect was  
20 right, but today, making sure that women get opportune  
21 therapy.

22           What I would like to do now is talk to you

1 about WellPoint, which covers one in nine Americans.  
2 WellPoint is, by membership, the largest health benefits  
3 company in the country, covering 35 million Americans. I  
4 want to talk to you about how we set policy today and  
5 then what we are doing to lead into the future.

6           Basically, with the Medical Policy and  
7 Technology Assessment Group, we take lots of input,  
8 including input from medical specialty societies,  
9 literature, Hayes' Technology Compendium, NICE, and  
10 wherever the information is, and we essentially  
11 consolidate that information. We work with many academic  
12 medical centers and medical specialty societies. We  
13 survey changing practice patterns and FDA decisions, and  
14 then we makes decisions on what we are going to cover and  
15 whether we find these treatments medically necessary.

16           Now, even within this complex structure we have  
17 subcommittees of leading hematologists, oncologists, or  
18 behavioral health experts.

19           What is most important is that once we make  
20 this decision, this is a time frame that can be literally  
21 days to weeks, depending on new therapy. In fact, for  
22 new preventive services it is days. For new therapies,

1 like after an ASCO meeting when a cancer therapy is  
2 determined to be of benefit, it is weeks, if not days.

3           What we do, though, is emphasize the concept of  
4 transparency. All of this information is put forward in  
5 a compendium. It is all heavily referenced, and it is on  
6 our website, available to all to look at, to review, and,  
7 if there is additional information, to be critical of and  
8 get back to us.

9           What Joanne said is so important. I won't  
10 repeat what she said, but we are not only looking for the  
11 analytic and diagnostic validity of a test. That is  
12 certainly a first step, but, is it clinically valid; in  
13 genetic testing, does the test reliably link the genetic  
14 variation to a relevant clinical attribute; then, what is  
15 the clinical utility; is there an incremental health  
16 benefit compared to the current care; what happens if the  
17 test wasn't performed.

18           When we use these criteria, we make certain  
19 decisions. I will just share with you briefly some of  
20 those decisions that we have made in the area of genetic  
21 testing. We certainly cover BRCA-1 and -2. You can see  
22 all genetic testing for cancer susceptibility is covered.

1           We cover pre-implantation genetic diagnostic  
2 testing. We cover gene expression profiling for ONCO  
3 Type DX, but not yet Mammoprint, which is a different  
4 profile. We cover K-RAS testing to look at anti-EGFR  
5 therapy.

6           Here is what we don't cover. We do not cover  
7 biochemical markers or testing for the diagnosis of  
8 Alzheimer's because we do not believe, and you know, that  
9 it is not yet proven to reliably confirm a diagnosis or  
10 screen asymptomatic patients with or without family  
11 history. You can see the others that we do not cover.

12           The reason we don't do this is not just for  
13 financial risk and reward. Certainly, we have to contain  
14 cost, but we actually go into this without cost as our  
15 primary factor. It is what represents the best quality  
16 medicine and the best evidence-based care. We are  
17 looking for how to better diagnose and manage risk in  
18 populations, to better diagnose prenatal disease.

19           The potential risks are that false negatives  
20 may result in failure to seek necessary care. That was a  
21 very real concern for us on a lot of the testing for  
22 breast cancer. False positives lead to a Damocles,

1 perhaps, in how people can deal with that.

2           Then we have the issue, ultimately, of cost.

3 As we look to the future, what are companies like  
4 WellPoint, Aetna, and others of our peer companies doing?  
5 We are doing many things to try to learn the answers to  
6 better define in some cases observational studies on what  
7 works in health care.

8           We have a company called Health Corps. It is a  
9 health outcomes research company. What we do is we  
10 partner with health plans but, importantly, we form  
11 strong collaborative research relationships with academic  
12 medical centers. We have about 110 research projects  
13 underway in breast cancer care, for example, in asthma  
14 and rheumatoid arthritis, and in coronary syndromes, to  
15 see what drugs and devices work.

16           We even are building a research network. We  
17 are part of the Indiana CTSI, but beyond that, we work  
18 with academic centers and large organized physician  
19 groups to actually use that physician and academic  
20 community to explore what can work in health care. It is  
21 not only to do the observational studies, and in some  
22 cases RCTs, but really to then have these organizations

1 be willing to adopt the necessary care changes so we  
2 don't have the 17 years, as Rob shared, from new  
3 knowledge to its introduction in care but we have rapid  
4 dissemination of information.

5           We talked about electronic health records. We  
6 are working on creating an integrated health record.  
7 Here is an example of not just taking imaging information  
8 or laboratory information or claims information but now  
9 genetic information, along with drugs and medical  
10 records, and creating an integrated record, as we have  
11 done in Ohio. That same record, with decision support,  
12 is available to doctors, hospitals, members, patients,  
13 employers, and emergency rooms. It has shown better  
14 outcomes of care and improvement in care.

15           Here is an example of what this might look like  
16 in a very personalized way. Actually, you see the care  
17 and the drugs. You would see more about genetic  
18 information. Then there are these clinical alerts, where  
19 there are gaps in care. We are informing the member, the  
20 patient, and his or her physician what can be done  
21 better, whether it is to save costs or whether it is  
22 discovering a therapy not being effectively monitored.

1           The last point I want to make is that we want  
2 to build partnerships. Barry so well articulated that we  
3 all have to work together to advance knowledge. An  
4 example of this is, we are working with the FDA and  
5 others on a safety sentinel system; once a device or a  
6 drug is available, what is its real clinical use.

7           By looking at this, we would have identified  
8 Vioxx about three months after its FDA release. We  
9 looked at Avandia, as an example. We had 40,000  
10 individuals who had had myocardial infarction who were  
11 taking Avandia and didn't find an increased risk. This  
12 is, again, an example that observational studies done  
13 well can make a huge difference.

14           To truly recognize the value of genetic  
15 technologies from a health plan perspective, from  
16 ultimately all of our best interests, they have to be  
17 proven and improve health outcomes. The way to get there  
18 is to continuously evaluate new technologies to determine  
19 what works through both outcomes research and comparative  
20 effectiveness research, to make sure we disseminate that  
21 clinical knowledge into clinical action, and then,  
22 finally, to make sure that not only do we disseminate it

1 but we close the gaps in care at the point of care.

2 Thank you.

3 MS. ASPINALL: Thank you. Joanne, you are  
4 still on the phone?

5 DR. ARMSTRONG: Yes.

6 MS. ASPINALL: Let's take a couple of questions  
7 now. We will get to our last panel, take a break, and  
8 then bring everyone up together. So, questions? Mike.

9 **Question-and-Answer Session**

10 DR. AMOS: Thanks for both your presentations.

11 The thing that I would like to emphasize is the fact  
12 that in order to prove the clinical utility of this you  
13 have to make sure that the assay systems are working  
14 properly and that the measurement technologies that you  
15 are using are actually giving the answer that you think  
16 you are getting. In many cases, that doesn't happen.

17 I can't remember where it was, but about a year  
18 ago or so I saw a paper where there was a study on a  
19 clinic in Maine where they were getting 30 percent false  
20 positive and false negative results for HER-2 testing.  
21 It was crazy.

22 Without the kind of standards and things like

1 that that are required to ensure that you have some  
2 confidence in the measurement, your clinical assessment  
3 and clinical utility assessment could be wrong.

4 DR. NUSSBAUM: Mike, what you say is absolutely  
5 true. All of this starts with scientific precision and  
6 accuracy of the measurement. The more that we rely on  
7 tests to guide therapies for very, very critical  
8 illnesses, like breast cancer and therapies that are very  
9 costly and make a difference in life or death, we  
10 absolutely need that rigor behind the clinical  
11 performance of the tests.

12 MS. ASPINALL: Paul.

13 DR. BILLINGS: Joanne, it is Paul Billings.  
14 I'm sorry you are not here. I actually have two detailed  
15 questions. One is, for benefits -- and I'm thinking  
16 primarily of molecular diagnostics, for instance, that  
17 require prior authorization for payment -- how do you  
18 assure that the people doing the prior authorization  
19 understand the technologies, given that some of these are  
20 fairly complicated and difficult for the experts to  
21 really understand, much less other folks? Then I have a  
22 second question.

1 DR. ARMSTRONG: I think that is a great  
2 question. When you look at all the challenges to what we  
3 frame as consumer and clinician preparedness to  
4 understand and effectively use genetics, I include staff  
5 of health plans as part of those clinicians doing that.  
6 Clearly, the same lack of knowledge gets replicated  
7 inside health plans as well.

8 For Aetna, we have a small number of these  
9 technologies that are on precertification lists or other  
10 types of lists that require preauthorization. For Aetna,  
11 they are actually handled by a very small, limited staff  
12 of people who have been extensively trained. For the  
13 technologies in question, we actually do work with the  
14 manufacturer to make sure that we each have a clear  
15 understanding of what information is being required in  
16 this preauthorization system.

17 DR. BILLINGS: To change gears slightly, Aetna  
18 was an early adopter of BRCA-1 testing, which could be  
19 argued as an expensive medical test and also one that, at  
20 least Myriad would argue, is value-priced. Why did Aetna  
21 adopt that test earlier, arguably, than other payers?

22 DR. ARMSTRONG: I think that, from an evidence

1 point of view, it met the standards of coverage. At the  
2 time, and that dates back 10 years ago, we were very  
3 actively engaged in the theory of genetics and their  
4 utility.

5           One thing that I will comment about is that we  
6 have been watching that. It has been on a  
7 precertification list for about 10 years. In the early  
8 days of use of BRCA, about 5 percent of the requests did  
9 not meet medical appropriateness criteria. Those  
10 criteria early on were the ACMG criteria. Now we use  
11 NCCN criteria because they are more refined, I would say.

12           Over the years, the rate of non-appropriate use  
13 of that test has increased to the neighborhood of  
14 somewhere between 20 and 25 percent. That directly  
15 correlates with the mass public education, direct-to-  
16 consumer campaigns, et cetera. In fact, when we watch as  
17 direct-to-consumer campaigns take place in various  
18 geographies, we see the rate of non-medically appropriate  
19 testing requests spike up as well.

20           It highlights the issue of direct-to-consumer  
21 advertising in this area and underscores or amplifies the  
22 need to make sure the physicians understand what they are

1 ordering.

2 MS. ASPINALL: Other questions now?

3 [No response.]

4 MS. ASPINALL: With that, thank you, Sam and  
5 Joanne. Let's move to the next panel. We will take a  
6 break and then bring everyone up.

7 I'm going to warn the speakers now. We are  
8 going to give you a challenge about what do we actually  
9 need to do, either as this committee or more broadly as  
10 HHS, to actually change some of the things that we are  
11 talking about for the future.

12 As we are getting ready, our last panel is the  
13 perspective from employer-based insurance plans. This is  
14 something that many would say has actually been a quiet  
15 but very critical trend. Employers are not waiting for  
16 payers, public or private, to change health care. They  
17 are taking it into their own hands.

18 We are lucky today to have two key leaders in  
19 this field. Michael Critelli is the just recently  
20 retired chairman and CEO of Pitney Bowes. Michael has  
21 been a true leader and innovator in health care,  
22 including very aggressively lowering the health care-

1 related increases at Pitney Bowes. He is also chair of  
2 the CEO Health Transformation Community and is playing an  
3 active role.

4 Our second speaker in this area is Richard  
5 Luetkemeyer. I will give you his bio in between. Let me  
6 start with Michael, talking about Pitney Bowes.

7 **Perspective of Employer-Based Health Insurance Plans**

8 **Michael Critelli, J.D.**

9 [PowerPoint presentation.]

10 DR. CRITELLI: Thank you, Mara. I'm going to  
11 skip over some slides here and go right to the problem  
12 that we had to deal with when I took over responsibility  
13 for health care in 1990. We had 14 percent increases per  
14 year, poor employee satisfaction, and very poor health.  
15 My boss, the CEO, said, you have to fix all three  
16 problems.

17 What became clear to me was that we needed to  
18 approach it very differently from traditional health  
19 insurance plan designs. We had a four-pronged strategy,  
20 but before I get to that I want to make two preliminary  
21 comments.

22 First of all, I'm a strong believer in the

1 employer health care system because when we think about  
2 value, the employer or the union are the only players,  
3 other than the patient, who have an aligned interest in  
4 reducing cost and improving health; the only players.

5           Our interests as an employer go beyond reduced  
6 health care costs. They include, among other things,  
7 reduced absenteeism, improved productivity, and reduced  
8 presenteeism. We get a lot of benefits that you as  
9 clinicians, or CMS, do not get from improving the health  
10 of our employees.

11           The second point I would make is that there are  
12 four payment and coverage systems in this country. There  
13 is the public system, the private insurance system, and  
14 the mandated system in states. If you think of an  
15 insurance company, they really have to deal with two  
16 things. One is their own ideas, which Sam and Joanne  
17 eloquently talked about -- and both companies are  
18 partners of Pitney Bowes -- but there are also 50 state  
19 insurance mandates that, as Sam used an example, are  
20 often based on very bad medicine and are not revisited  
21 like the other three systems.

22           The beauty of the employer-based system is that

1 we can draw upon the expertise of all of the other  
2 systems to try to design value-based health care.

3           So, what do we do? We have four strategies.  
4 Strategy No. 1 is primary prevention: nutrition;  
5 exercise; lifestyle changes; immunization; and infectious  
6 disease prevention and containment. We provide food in  
7 many of our facilities. We stack the deck in favor of  
8 healthier foods both in terms of pricing, presentation,  
9 information, and merchandising.

10           We have health care facilities, redesigned work  
11 spaces, and infectious disease control. We have been  
12 smoke-free since 1990. We also provide services right at  
13 the clinics in the buildings, and we have a pharmacy  
14 right in the building.

15           Now, what are we learning from clinical care  
16 close to the work site? Convenient access improves  
17 people's use of the health care system. Obviously, there  
18 is a lot of benefit of continuity of care and increased  
19 adherence to treatment plans. We have done some studies  
20 through MedState. Our employees who use our clinics are  
21 more likely to stay on chronic disease medication  
22 programs.

1           We do value-based health care. We have done it  
2 for a number of years. We try to work on both patient  
3 and provider behaviors to drive the right behaviors.  
4 This is the point I was making earlier about the notion  
5 that we can actually, on a continuous, real-time basis,  
6 draw upon best evidence to change plans.

7           We not only look at effectiveness, we also look  
8 at behavioral responses. One of the things we did some  
9 years ago was to actually take our preventive disease or  
10 chronic disease medications down to zero cost to drive  
11 adherence. We found when we increased copays we actually  
12 lost money over time because people ended up in emergency  
13 rooms and hospitals.

14           So, what were the results of this. For the  
15 clinics, we saved a net \$2.30 for every \$1 spent. We  
16 have reduced disability and sick days. We saw an average  
17 cost of care decrease for diabetes and asthma. We also  
18 saw reduced hospitalizations.

19           Our total overall savings were about \$40  
20 million when you looked across all programs: medical,  
21 disability, and workers' compensation. This does not  
22 include presenteeism and absenteeism savings, which are

1 probably significantly more but are not easy to measure.

2           We are now recognizing, as our fourth strategy,  
3 the need to implement health information technology. I'm  
4 the chairman of an initiative called DOSSIA, which is a  
5 personal, patient-controlled portable lifelong electronic  
6 health record.

7           We also, at Pitney Bowes, aggregate all of our  
8 population-level data from all of our sources through  
9 MedStat to get insights on our self-insured health plan.

10           DOSSIA is different from an EHR in that, as you  
11 can see, a good chunk of what DOSSIA is all about is  
12 patient self-management. What I really like and what I  
13 would turn your attention to is the lower left-hand  
14 corner, the personal data sources. As we move forward,  
15 we do not expect to be able to compete with, nor would we  
16 want to compete with, some of the great EHR systems out  
17 there. What we are really focused on is supplementing  
18 EHRs with patient self-management.

19           In addition to having nine companies in our  
20 consortium, we also are a member of the Continua Alliance  
21 to try to figure out better ways to get interoperability  
22 between medical devices that capture data and the

1 personal health record.

2           Finally, just to give you some thoughts on the  
3 potential role of genetics and genomics, with value-based  
4 health care plan design, we will want to use tools to  
5 determine what we cover or offer for what populations at  
6 what reimbursement rates.

7           Let me just talk briefly about another  
8 dimension of our health plan. We not only get into  
9 questions of do we cover or not cover something, but do  
10 we have any processes other than diagnostic tests. For  
11 behavioral health, we use our EAP providers as, in a  
12 sense, incentives or screens. We have up to eight free  
13 visits, at our option, for someone wanting to enter the  
14 behavioral health system.

15           Now, they can go out of network and go straight  
16 into a behavioral health system, but we would reimburse  
17 at 70 percent for that. If they go through the eight-  
18 free-visit model, we reimburse it 90 percent. If I were  
19 to give you data on behavioral health costs, those are  
20 growing at the low single-digit rates. We are also  
21 capturing more people who have conditions like clinical  
22 depression and are able to identify comorbidities with

1 other conditions like diabetes and cardiovascular  
2 disease, which improves the ability to manage those  
3 conditions.

4 I do believe that over time we will want to use  
5 the tools that you all are talking about and developing  
6 to improve our ability to deliver value-based health care  
7 plan design. I believe very, very strongly that health  
8 care reform needs to encourage people close to the work  
9 place to be better not only buyers of health care but,  
10 more importantly, better drivers of creating a culture of  
11 health where people spend their waking hours.

12 I believe that we have had an 18-year  
13 controlled experiment, in some ways. We have had  
14 phenomenal results. I would like to see some of what we  
15 have been able to do be scalable to a bigger program.  
16 Thanks very much.

17 MS. ASPINALL: Thank you. Our last speaker is  
18 Richard Luetkemeyer. Richard comes to us as assistant  
19 medical director at Caterpillar, another leader in the  
20 field of employers that are taking dramatic actions to  
21 really take things into their own hands.

22 Richard is interesting because he most recently

1 was actually in medical practice as an internal medicine  
2 specialist. He comes to Caterpillar from many years at  
3 the University of Illinois, practicing and working in the  
4 medical school both on the clinical level and educating  
5 the next generation of physicians. With that, Richard.

6 **Presentation by Richard Luetkemeyer, M.D.**

7 [PowerPoint presentation.]

8 DR. LUETKEMEYER: The question that was  
9 addressed to me was how do we at Caterpillar, being self-  
10 insured, make decisions about coverage and non-coverage.

11 What I would like to do is just take you through a  
12 couple of decisions we made to give you a flavor of what  
13 influences us when we come to the decision to cover or  
14 not.

15 The first thing is, who is Caterpillar. We are  
16 a Fortune 500 company. Our employment at the end of last  
17 year was 110,000. Fifty percent of our sales and 50  
18 percent of our employees are outside the U.S.

19 We are self-insured. We cover 150,000 lives,  
20 or so. We have a legacy. We have the same union that  
21 the auto workers have. Our annual spend is \$650 million  
22 a year. Our average employee age is 41. We have a

1 turnover rate of somewhere around 5- to 10 percent.

2 Typically, when someone joins Caterpillar they are here  
3 for life and they are here for the life of their  
4 retirement.

5           That is the first thing that frames our health  
6 care strategy. It is taking the continuum of health  
7 model and saying if we are going to have these people and  
8 we are going to be responsible for their costs their  
9 whole life, what can we do to maintain health, to promote  
10 health, to prevent disease, and then to see that  
11 evidence-based medicine is practiced at the acute level  
12 and at the chronic level and at the end-of-life  
13 decisions.

14           The strategy actually started in the '30s, when  
15 the executive office was given a wellness and health  
16 exam. Today, that same exam that is given to the  
17 executive office is offered to every employee on a  
18 regular basis.

19           In 1992, Caterpillar said its health care costs  
20 would drive it out of the country to manufacture if they  
21 didn't do something to control cost. They had up to that  
22 point been paying all their claims. They went to a true

1 purchaser. They set up a network of preferred hospitals  
2 and a network of preferred physicians.

3           In 1995, as part of the demand strategy, the  
4 executive office approved a health promotion program.  
5 That program basically was started saying that we had to  
6 have the ability to get 90 percent of our employees,  
7 spouses, and retirees to participate twice a year on  
8 HRAs, health risk assessments. That is about what we  
9 have been getting, about a 90 percent participation rate.

10 That is because we have built in incentive of premium  
11 reduction that was aimed at the 90 percent level.

12           A pharmacy collaboration was started between  
13 our hospital, the University of Illinois in Peoria, and  
14 the Caterpillar Benefits Plan Design in Corporate  
15 Medical. We added phenotypic hemochromatosis screening  
16 to our wellness exam back in '99 because our population  
17 is basically of northern European descent.

18           Dr. Nussbaum mentioned the area of breast  
19 cancer. In roughly 2000 or 2001, we identified a  
20 problem. Our insurance basically did not cover  
21 investigational procedures, and just about every academic  
22 center in the world was doing high-dose chemotherapy and

1 bone marrow transplants. So we created a special program  
2 outside of the typical benefit program, and we called it  
3 Group Insurance Plan A.

4           The requirement for this is we would cover  
5 high-dose chemotherapy and stem cell rescue or bone  
6 marrow transplant if the employee or a dependent would  
7 enter the National Cancer Institute studies. At that  
8 point in time, there was no question in transplant  
9 centers that this was beneficial. Obviously, the  
10 National Cancer Institute had lots of trouble recruiting  
11 people. As an employer, we felt we needed to know the  
12 answers to should we cover it or not, and why not  
13 participate in that program.

14           The results of that program actually proved  
15 that fads don't just exist in Hollywood, and no one is  
16 doing the high-dose chemo with transplants at this time.

17           In 2002, our health risk assessment, that  
18 twice-a-year thing that we get 90 percent participation  
19 in, we combined with addiction counseling free and clear.  
20 We added preventive services for nicotine replacement  
21 and Bupropione if you entered into this program. It was  
22 a telephonic program across the United States. We only

1 took people, though, who we staged through our health  
2 risk assessment who were in the preparation stage.

3           We limited it to our smokers. Our production  
4 worker smoking rate at that time was 25 percent, our  
5 salaried rate was 15 percent, and our management rate was  
6 about 10 percent.

7           One survey they did was, "Are you currently a  
8 smoker?" and if they answered yes, we staged them  
9 according to Prochaska's model. If they were in the  
10 preparation stage, we would then pay for the free and  
11 clear program with whatever medicines were necessary.

12           The quit rate five years down the road of the  
13 people who entered the program is 38 percent. People who  
14 were in a preparation stage who did not enter the  
15 program, their quit rate at five years is 5 percent.

16           We added zero-dollar coverage to medicines that  
17 we thought were essential for chronic care of diabetes,  
18 antidiabetic medications, antihypertensives, and  
19 antilipidemics. Last year we started worksite health  
20 coaching programs so we could interact with our employees  
21 at the work site on lifestyle changes, again using  
22 motivational interviewing not just to make them aware of

1 what they need to do but to motivate the person to  
2 change.

3           This is an example of our continuing care model  
4 for colon cancer. Our goal is to reduce the incidence of  
5 colon cancer. On the far left you see the  
6 stratification. This is where I think genetics could  
7 help us. Right now in our HRA we ask about a family  
8 history, and in our second HRA, if the answer is yes, I  
9 have a first-degree relative, we actually then dig into a  
10 detailed family history.

11           We have added a total of 100 percent coverage  
12 for colon cancer screening at age 50. We have a program  
13 looking at people under the age of 50 who have at least  
14 one first-degree relative with colon cancer. We don't  
15 pay our bills anymore. We use United Healthcare. Since  
16 there is no screening colonoscopy CPT, it is hard to pay  
17 for that at 100 percent. We want to pay for that, and we  
18 need help on that.

19           You can see the second part of this is that we  
20 are not ignoring the quality of the colonoscopy. Our  
21 goal is to get people at average risk and high risk. I  
22 should mention 1,200 of our employees or their dependents

1 under the age of 50 have first-degree relatives who  
2 should be starting their colon cancer screening at age  
3 40. We give them that information. We haven't had the  
4 ability to take away the barrier of cost to that at this  
5 point.

6           The second thing we have done with our network  
7 of hospitals and colonoscopists is developed, through the  
8 Duke Evidence-Based Practice Center, what are the  
9 elements that you would be measuring if you were going to  
10 measure a program's quality of colonoscopy. We have  
11 eight elements that the colonoscopists agreed to.

12           Our goal is to get the people to have a  
13 colonoscopy and then, once they get the colonoscopy, are  
14 they having a quality colonoscopy; is it complete; are  
15 they giving the information to the pathologist that is  
16 necessary; are they documenting withdrawal times and  
17 things like that.

18           The third example I want to give you is our  
19 drug example. You can see this is 2006 data. The  
20 antilipidemics was our highest drug cost. CIC stands for  
21 calculated ingredient cost. That is the dispensing fee.

22           It is what the employee pays and it is what

1 Caterpillars. It is a societal look at the drugs.

2           Seventy percent of that was in statins. Prior  
3 to that, before we had step therapy and preauthorizations  
4 for the PPIs, the ulcer drugs were number one. You can  
5 see on the slide what we did with the ulcer drugs.

6           With the statins, looking at the far left  
7 corner of the slide there, if your goal was to lower the  
8 baseline LDL level 35 percent, any of the basic statins  
9 on the market would have that LDL-lowering effect. The  
10 areas in green are the generics. The areas in blue are  
11 the brands with therapeutically equivalent LDL-lowering  
12 generic availability. The reds are the brands for which  
13 there are no generic therapeutic equivalents available.

14           With that we said, if the goal is to reach the  
15 NCEP, National Cholesterol Education Program, goal of 100  
16 and you need 35 percent reduction, why would we want to  
17 pay for a brand when you can get exactly the same result  
18 with a generic.

19           In 2007, we created the statin generic zero  
20 dollar copay. At that time, 35 percent of our population  
21 was on cholesterogenetics. The blue line there on the  
22 slide is those on brands with generic therapeutic

1   equivalents. Our goal was to lower the blue line,  
2   increase the green line, and not affect the red line.  
3   The red lines were those brands without generic  
4   equivalents.

5           You can see at the very end of the slide, as  
6   part of our control phase, at a year we were gaining  
7   ground but it wasn't rapid enough. Therefore, we then  
8   put step therapy in place. We sent letters to the  
9   employees and to the physicians noting that in August we  
10   were going to make the change. You can see by August 80  
11   percent of the people were on generic statins.

12           This is the key part that really showed me the  
13   value of zero dollar copays. The red lines compare the  
14   generic adherence in 2006 versus 2007. The blue lines  
15   are the brands. These are new starts nine months out.  
16   You can see basically in 2006 they were all roughly at 69  
17   or 70 percent, generic or brands. When we added the zero  
18   dollar copay, adherence went up to 82 percent at nine  
19   months.

20           Everything we do is to make sure that we are  
21   not causing harm, so we track the consequences of any  
22   drug change. I will just rapidly go through these.

1           In rhabdomyalgia you can see the trend is down.  
2       This is not saying that the change has made this. This  
3       is really a notice to us that we did something and  
4       something has changed adversely, so look more deeply into  
5       it.

6           These are claims data. Myalgia myositis went  
7       down. This is an elevated liver function test.  
8       Actually, when we trend 2006 before the change, that  
9       trend was upward. In 2007 it is downward.

10           This is hospitalized early for MIs, but again,  
11       the trend at least is not giving us any warning that we  
12       should reexamine our decision.

13           If we get better adherence, this is the cost  
14       savings to Caterpillar Enterprises in yellow, the member  
15       savings because of zero dollar copay, and then the CIC  
16       cost, or the calculated ingredient cost. This is by  
17       month. You can see that at the time we went to step  
18       therapy for generics in August of 2008 the savings to  
19       Caterpillar Enterprise was close to \$1 million.

20           This is a quote from a JAMA article. "Pharmacy  
21       benefit design represents an important public health tool  
22       for improving patient treatment and adherence." I think

1 plan design, not just pharmacy plan design, represents a  
2 public health tool.

3 I want to end with this. This is Caterpillar's  
4 U.S. medical cost in 2002. Its goal was to keep its rate  
5 of rise to general CPI. The red line there is the  
6 general CPI. This was done by Towers Perrin. Towers  
7 Perrin's estimate of if we did nothing was the blue line.

8 That is a 7 percent increase per year, which you know  
9 sometimes is higher than that. Actually, the black line  
10 is what Caterpillar's costs are, with increased  
11 employees, increased adherence, adding 100 percent  
12 coverage for U.S. Preventive Service Task Force Grade A  
13 recommendations, and zero dollar copays.

14 I would like to end there. Thank you.

15 MS. ASPINALL: Thank you. Richard, if you can  
16 stay up there with Michael? We will get some questions  
17 on this. Then we will break, and then come back with  
18 everyone as an interactive panel. Marc.

19 **Question-and-Answer Session**

20 DR. WILLIAMS: I asked this question yesterday  
21 to the EEOC and Office for Civil Rights representatives.

22 I know that this is somewhat speculative since we are

1 still in the rules process and neither of you have any  
2 systems that are currently using genetics or genomics to  
3 actually make some of the decisions that you have talked  
4 about.

5           But, given all that, with GINA Title I  
6 affecting insurers, Title II affecting employers, but  
7 with self-insured employers seeming to be caught in both  
8 of those pods, could you talk a little bit about your  
9 perceptions of how GINA is going to impact some of your  
10 desires to move some of this personalized medicine into  
11 your disease management and other health programs?

12           DR. LUETKEMEYER: I will start with that and  
13 stay on the colon cancer theme. Right now we are using  
14 family history and we are using average risk at age 50 or  
15 so. Even the average-risk person is only at 6 percent  
16 risk, so lots of other people are undergoing an invasive  
17 procedure. We have decreased our costs for the  
18 colonoscopy. We have a global fee rate for the screening  
19 colonoscopy at \$1,000. If we could target and use  
20 Prochaska's model on our HRA to find the people who  
21 really are at higher risk, it would make a big  
22 difference.

1           I brought up the breast cancer study because I  
2 do think that an employer like us would be willing to  
3 refer our patients who are under the age of 50 to see if  
4 genetic testing does change the adherence to following  
5 the guidelines and does it really lead to better outcomes  
6 than just family history.

7           I see lots of areas where on our HRA we are  
8 using self-reported family history. In my mind, the  
9 question is would genetic testing tell us who to  
10 concentrate on. We still use it on our pharmacy side for  
11 herceptin receptor positives, and with colon cancer we  
12 use it now for the biologics.

13           DR. CRITELLI: I would only add one comment,  
14 which is that I think the personal health record,  
15 preferably used with the up-front consent on the part of  
16 the people that have the record, is going to be an  
17 extremely critical tool, particularly if we can get more  
18 self-managed, self-entered, and self-tracked data into  
19 the system. I think over time we can develop richer data  
20 sets, but we need to figure out how to aggregate it and  
21 have the freedom to aggregate it into population-level  
22 data.

1           One of the scary things in the House version of  
2 the stimulus package is it would have crippled  
3 aggregation of population-level data. Fortunately, the  
4 Senate language, which was somewhat better, prevailed.  
5 It is something we are going to have to use very  
6 judiciously.

7           DR. WISE: I want to get more at the issue. Do  
8 you think that there are provisions in GINA that are  
9 going to essentially firewall some of that genetic and  
10 genomic information that you would like to use, either  
11 through traditional electronic health records or even  
12 through a personal health record, so that you would be  
13 prohibited from using that information to make important  
14 decisions?

15           DR. LUETKEMEYER: With our hemochromatosis  
16 screening, that question came up with the testing for the  
17 HFE gene on people who had high transferrons and high  
18 ferritin levels, phenotypic iron overload. Our lawyers  
19 would not let us do genetic testing, so we developed a  
20 letter to the employees saying you ought to go talk to  
21 your doctor about this.

22           What you are talking about is, in that letter

1 we did educate the physicians of the meaning of testing  
2 in this person. Many of them had ferritin levels above  
3 1,000, and they were all asymptomatic.

4           So again, unless we get some protection, our  
5 lawyers will not let us do genetic testing as an employer  
6 because of fears that it will get out into the public  
7 through the HR departments. Even though we keep  
8 everything in corporate medical, that is not a big enough  
9 firewall for our lawyers.

10           DR. CRITELLI: We have an alternative which we  
11 are looking at, which is to what degree can, say,  
12 outsourced providers have more freedom of action. If I  
13 look at our clinics, we split down the middle. Four are  
14 operated by company employees and four are operated by  
15 outsourcers. I think is going to drive us more to an  
16 outsourcing model.

17           I think it is a workable model because there  
18 are other benefits to the outsourcing model, at least in  
19 the states in which we have clinics. They have more  
20 freedom to treat dependents and retirees than the in-  
21 house people do.

22           MS. ASPINALL: Kevin.

1 DR. FITZGERALD: Thank you both for the  
2 presentations. What you are doing is fascinating. Just  
3 following up on this informed consent issue that you  
4 mentioned, using as a specific example your Special Group  
5 Insurance Plan A when you were talking about those who  
6 had breast cancer, my understanding is you incentivized  
7 them to go into an NCI clinical trial. What was the  
8 alternative they didn't want to go that way? Some people  
9 might come up with a concern of coercion or something.

10 DR. LUETKEMEYER: No coverage.

11 DR. FITZGERALD: No coverage?

12 DR. LUETKEMEYER: Right. It was  
13 investigational. The evidence at that time, if you read  
14 it closely, was unproven. So we did not cover it. In  
15 order to cover it, we created a special program outside  
16 of it that said in order to get to the answer you had to  
17 participate in a study. If you didn't want to  
18 participate in a study, it was not covered.

19 DR. TEUTSCH: They get breast cancer coverage  
20 but they don't get access to that service. Is that  
21 correct?

22 DR. LUETKEMEYER: Correct. They got the

1 chemotherapy with the transplants.

2 MS. ASPINALL: It sounded like there was no  
3 coverage. What you are saying is the baseline was  
4 coverage.

5 DR. LUETKEMEYER: Thank you.

6 MS. ASPINALL: We didn't want to leave any  
7 wrong questions asked.

8 DR. CRITELLI: Obviously, I have retired from  
9 Pitney Bowes, and I'm not sure in what direction they are  
10 going. I operated on the principle of never fully taking  
11 away choice but nudging people through different rates of  
12 reimbursement depending on whether they went through an  
13 informed consent system versus whether they didn't. So  
14 we stack the deck.

15 On the specific example of the breast cancer,  
16 we did have an ethics committee that looked at that  
17 because we knew it was life or death whether we paid for  
18 it. That was a unique situation.

19 For example, with bariatric surgery, we said,  
20 we will cover the surgery but you have to go through  
21 another process first. If you go through the other  
22 process, you get a much higher rate of reimbursement.

1 Anything that is on the margin, we try to have a  
2 predecision process and stack it by higher rates of  
3 reimbursement.

4 MS. ASPINALL: Super. With that, let me pass  
5 it over to Steve for the announcement and timing at  
6 lunch. We will come back right afterwards.

7 DR. TEUTSCH: Right. That was a terrific group  
8 of speakers. Many thanks to all of you. Hopefully you  
9 can stay with us because we want to continue the  
10 discussion with you if you are able to stay afterwards.

11 Since I know we always lose people towards the  
12 end, could I ask that we come back at 1:00? Like  
13 yesterday, those of you who ordered box lunches will find  
14 them outside. Those of you who didn't, the cafeteria is  
15 just down the hall.

16 MS. ASPINALL: Is it fair to say we will try to  
17 end early?

18 DR. TEUTSCH: We will aim to end a few minutes  
19 early.

20 [Lunch recess taken at 12:08 p.m.]

21 + + +



1 categories. We talked about this, both today and in our  
2 committee meetings. For drugs and pharmaceutical  
3 companies this is what I have heard. We can debate this.  
4 More targeted drugs with smaller targeted markets, more  
5 effective drugs with fewer side effects; will that  
6 increase the cost per drug. Several people in the  
7 industry are saying it. At the same time, will it  
8 increase compliance? I'm going to ask you to tell me  
9 what you think. Is this the future that you see?

10           When you look at oncology in particular, and  
11 several people used this as an example of the future, it  
12 is pretty compelling. Ten percent of drugs were targeted  
13 in 2001 and maybe 60 percent targeted in 2010. Is it all  
14 about genetics? No, but probably 80 percent of those are  
15 targeted on a gene basis. That doesn't mean an inherited  
16 basis.

17           Physicians are overwhelmed. We heard that  
18 today. We heard that yesterday by the volume of data.  
19 They need more tools. They need more education on  
20 genetics and genomics. Right now, fully 17 percent of  
21 medical schools have no formal education on genetics and  
22 genomics in their four-year education.

1           They need more treatment guidelines. Will this  
2 bring them increased liability as we look to the future?

3           Next, employers. We hear they are taking a  
4 long-term view of employees' health. There is a growing  
5 use of self-insurance plans and aggressive use of  
6 wellness plans. Will we see this trend of self-insurance  
7 continue?

8           Laboratories. Intense data acquisition and  
9 storage requirements. Personalized medicine and genomics  
10 is all about data. It is not about the wet lab anymore.

11          Reimbursement challenges, as Bruce spoke about,  
12 with new technologies. I think it's fair to say we have  
13 heard this time and time again: Increased focus and  
14 scrutiny from all parts of the health care community, in  
15 the diagnostic world and in the lab world. What does  
16 that mean for the future, and what actions do we need to  
17 take?

18          Payers. We have heard this a few times. They  
19 are demanding evidence-based medicine. How do we get it  
20 to them? Payment may be contingent on drug  
21 effectiveness. We spoke about NICE and what they are  
22 doing in Velcade. It is a money-back guarantee. If the

1 drug works, they get paid. If it doesn't work, they  
2 don't get paid.

3 BlueCross has talked for many years about  
4 funding their own database on patient outcomes, not  
5 having PhRMA or diagnostic companies do it. In the same  
6 way, they say, we have our own data; we are going to get  
7 our outcomes. And then, demanding tests but needing to  
8 prove the relevance to the patient and physician.

9 Lastly, a group that is not represented on our  
10 panel, except for all of us as individuals, is patients.

11 One thing that I will ask about is, how do consumer-  
12 directed health plans really impact us in this area.  
13 Patients are more educated but more stressed. There is  
14 increased decision-making, whether it is copays or no  
15 copays. They need to get more involved than they were in  
16 the past.

17 Improved compliance as personalized treatments  
18 grow, potentially. Maybe most importantly, when we talk  
19 about predispositional testing and otherwise, they are  
20 living with the potential of the disease, not the disease  
21 itself.

22 As you look at health care spending going

1 forward, in current practice -- and I think this is borne  
2 out in much of the work that we have heard -- relatively  
3 little is spent early, and much more as we get older and  
4 we get sicker. Is this the potential of genetics,  
5 genomics, and personalized medicine, a very different  
6 trend? Is this the investment in diagnostics and  
7 prevention genomics, and do we get a benefit in quality  
8 of life and financial savings?

9           If this is the future, (A) Is this the future  
10 we want? Is this the future we want to get to? (B) How  
11 do we get there, how do we actually do what all of you  
12 have asked?

13           This is where we need to go. How do we  
14 actually change the system so [that] five years from now  
15 we are not sitting here again, and again saying this is  
16 what we should do? How do we take that proactive action  
17 at a moment in which it seems as if there is tremendous  
18 openness around the country to health care reform?

19           With that, let me leave it open, take some  
20 questions from the group, and have a facilitated but  
21 active, interactive discussion.

22           Julio, do you want to start?

1 DR. LICINIO: Yes. I have a question. All of  
2 these models that we make in evidence-based medicine are  
3 all very nice and neat, but the way that the data are  
4 collected -- and I do some of those studies -- is in very  
5 artificial conditions. You recruit people that have that  
6 disease and you put them in a protocol where they meet  
7 very stringent inclusion criteria.

8 In my protocols, I have a 10 percent  
9 recruitment. I screen about 4,000 people to get 400, but  
10 the people who are sick out there are the 3,600.

11 For example, when I was at UCLA in the  
12 geriatric clinic there, the patients are, on average,  
13 taking 14 medications. There is no evidence-based  
14 medicine for all these combinations that we give to  
15 people, and many of these combinations have never been  
16 tested, even in animals. Nobody has even given to a  
17 mouse what we give to some of the patients.

18 Then we talk about evidence bases and we try to  
19 be very scientific, but the reality of clinical care is  
20 very different than this world of clinical studies and  
21 evidence bases. How do you bring this to real life and  
22 to people who have three, four, or five different

1 diagnoses?

2           My mother had breast cancer, diabetes,  
3 hypertension, and aortic stenosis and had medications for  
4 all of those. Then things are combining and acting even  
5 genetically in a way that is not what we studied. How do  
6 we take care of that?

7           DR. NUSSBAUM: What you speak to is the most  
8 important issue. Outside of the randomized control  
9 trial, how do we know what really works in health care  
10 and what works in real-world settings? That is why I was  
11 emphasizing the fact that those of us who have aggregated  
12 data and who have huge databases I think are really open  
13 to working with federal agencies, academic partners, and  
14 others in a collaborative way to look at those databases.

15           I used some of the examples on drug safety.  
16 This is after a drug is approved following its NDA and  
17 RCT. How does it really work in the real world? While  
18 there is not the purity of the RCT, we have data and we  
19 have numbers of patients.

20           Let's envision in our population we have just  
21 under 1 million individuals with diabetes. The way we  
22 can look at that population and how they use insulin, for

1 example, or what A1C correlations are, or any of the  
2 therapies for diabetes, can be applied to that setting.

3           It is not the nuance of the medical record, but  
4 claims data is quite accurate when you are trying to  
5 correlate with major events, be they myocardial  
6 infarction or stroke, because most hospitals do submit a  
7 claim for giving that care. When people have looked at  
8 claims-based information, while initially it was driven  
9 for financial results, they were able to work with it and  
10 develop performance measures.

11           In summary, I think that these massive  
12 databases, without creating new ones, can be used for  
13 studying safety, effectiveness, and outcomes.

14           DR. EPSTEIN: I would just like to add to Sam's  
15 point of view, which I completely agree with. I like to  
16 view the two as being complementary. For me, oftentimes  
17 in randomized trials you are looking at efficacy, not  
18 effectiveness, which means in perfect conditions with  
19 perfect compliance and perfect everything in people who  
20 have only the disease of interest, can the thing even  
21 work.

22           That doesn't answer the question you are

1 asking, which is in the sloppier world of people who have  
2 lots of problems where things don't go the way they do in  
3 the clinical trial, can they work. That is what  
4 effectiveness is about for me. I think you need both,  
5 really, to understand how things work.

6 I will give you one illustration I always find  
7 interesting. If you go back to the pivotal studies in  
8 lipid-lowering therapies, until the mid '90s we didn't  
9 even know if they reduced mortality. It just looked  
10 good. It made sense. Epidemiologic studies showed it.  
11 Then along came a 4.5-year randomized trial that showed  
12 yes, for people with placebo versus cholesterol you save  
13 mortality.

14 If you look at those papers, though, they had  
15 92 percent persistency rate at the end of 4.5 years,  
16 meaning 92 percent of people were still on therapy at the  
17 end of 4.5 years. In our effectiveness, real-world, 60-  
18 million-life database of lipid-lowering users, 50 percent  
19 drop off in the first year. So the outcome benefits that  
20 you see in those clinical trials at the end of 4.5 years  
21 are not going to be the outcome benefits you see in the  
22 "real world" because people don't behave the way they do

1 in the clinical trials, as you have so rightly pointed  
2 out.

3 I do think you need the efficacy studies to  
4 prove that in the perfect world it would even work at  
5 all. Then you need some effectiveness studies in the  
6 world where Sam and I work to see if, in the messier  
7 world, it still helps.

8 DR. LUETKEMEYER: I would just like to add  
9 also, in the messy world we don't consider a complex  
10 patient like your mother and what she really needs. The  
11 whole delivery care system has to transform into  
12 processes of team care. Any one of those things you  
13 listed a good GP, good family practice doctor, or good  
14 general internist could handle. When you start combining  
15 three or four things together, they get lost because she  
16 comes in not for any of those things but because her knee  
17 hurts.

18 We don't have the processes in place.  
19 Hopefully, the medical home would allow this, but the  
20 medical home won't survive unless we are willing to pay  
21 for it a whole different way, going from a volume payment  
22 system to a value payment system.

1 DR. EPSTEIN: There is another twist to that.  
2 We usually think of efficacy being higher and real-world  
3 effectiveness being lower. It can go the opposite way.  
4 Warfarin studies are one of those. If you are going to  
5 do an IRB-approved Warfarin study, everybody is  
6 consented, they have diaries and their pill logs, they  
7 have I & R three times a week, and then genetics may not  
8 help very much.

9 The question is just the opposite in the real  
10 world: In Sioux City, Iowa, would Warfarin genetics be  
11 helpful.

12 MS. ASPINALL: I'm going to take the  
13 prerogative to have a follow-up question. As we talk  
14 about the evidence for this and Warfarin testing and  
15 other testing, have we raised a standard for diagnostics  
16 in today's world that is higher in terms of evidence  
17 necessary in trials than we have for drugs?

18 You can go back over old drugs that were  
19 approved a long time ago, or processes, or new surgical  
20 interventions, like a bone marrow transplant which didn't  
21 need to be approved, per se. People could begin doing  
22 it, as opposed to specifically approving individual

1 genetic tests.

2 DR. EVANS: I don't think the evidence is  
3 higher than it is for drugs, per se. I think it is  
4 approaching that level, which isn't true for a lot of  
5 other medical interventions that we talk about outside of  
6 drugs. It seems like drugs have their own very specific,  
7 very intense standards of evidence for approval in this  
8 country, and rightfully so, because of what has happened  
9 in the past with safety and what not. A lot of the rest  
10 of health care preventions do not have the same  
11 standards.

12 Genomic-based labs seem to be moving up into  
13 that area, where you don't have the business model to  
14 support it the way you do for the pharmaceutical  
15 industry.

16 MS. ASPINALL: Marc.

17 DR. WILLIAMS: This is an extension of Julio's  
18 question. First of all, to endorse, I think, the  
19 responses from the group, I would extend perhaps the  
20 database argument that Dr. Nussbaum brought forward.

21 We have talked several times during this  
22 meeting and in other contexts about integration of data.

1 I think that that is really a critical issue because,  
2 certainly for those of us that practice in integrated  
3 health care systems where we have access to claims data,  
4 medical data, and a lot of different data, we can then  
5 not depend on a sole data source to try and answer  
6 questions.

7 I think, certainly, if we look at some of the  
8 NCQA measures that are completely dependent on claims  
9 data, we know that we could probably do a better job of  
10 answering some of the questions, like appropriate use of  
11 antibiotics, if we had something to go on other than  
12 claims data, but that is what we are stuck with.

13 I think, as many people have called for, it is  
14 absolutely clear to me that one of the things that we  
15 need to endorse as a Secretary's advisory committee is to  
16 say integration of databases with rules to protect  
17 individuals is going to be absolutely critical to  
18 learning things.

19 The second point is that one of the challenges  
20 from evaluation of the evidence is that it is very  
21 difficult for some of this real-world information that is  
22 extremely important around effectiveness to actually get

1 into the literature. It is using a paradigm that is  
2 different from what people are used to, which is  
3 hypothesis-based clinical trials.

4 I know in our institution we have some  
5 extremely interesting work around Warfarin management for  
6 people that are long-term where we have used industrial  
7 process management to reduce tampering. We have  
8 increased our time in range by about 75 percent. For  
9 three years we haven't been able to get this published  
10 because it is not a randomized control trial.

11 We think this is important, and it is a very  
12 simple thing. Basically, you just don't change the dose  
13 if they are between 1.8 and 2.0 and 3.0 and 3.3. It  
14 would be something that you could turn on almost  
15 instantaneously.

16 This looks to be a problem that is going to  
17 impact all of us as we try and pick what are the most  
18 effective therapies. I'm just interested in your  
19 perspective about how we could get those types of, if you  
20 will, real-world clinical trials or real-world data  
21 around effectiveness into a venue where we could call see  
22 it.

1 DR. NUSSBAUM: Marc, I will be happy to start.

2 I absolutely agree with you that much of the data that  
3 exists in observational studies often doesn't meet the  
4 rigorous criteria for publication in academic journals  
5 and even for many of our academic colleagues to be  
6 intrigued by the data.

7 That is why I'm very impressed that any of  
8 these CTSIs will give us a different breed of researchers  
9 that will work with different databases and perhaps can  
10 partner with those organizations -- Aetna, United, Medco  
11 -- that have databases.

12 I think that pendulum is swinging now,  
13 realizing that even the RCTs that have been so  
14 beautifully done through FDA trials, in some instances  
15 didn't give us even the strong answer on the safety of  
16 drugs. I would argue that the Translational Science  
17 Initiatives, the CTSIs, and the CTSAs can get us a little  
18 bit closer.

19 I think there are others. We heard from Mike  
20 and Richard what companies have. They have extraordinary  
21 databases. If they have longitudinal employment, there  
22 is tremendous information that is a nice hybrid. It is

1 not claims information, but if you have on-site care  
2 models, you have a more robust set of databases.

3 I was excited so much by the stimulus package  
4 because of that comparative effectiveness research and  
5 the \$1 billion to CDC. Perhaps some of that money can be  
6 earmarked for new methods of analyzing these large  
7 databases, giving us confidence that the knowledge  
8 derived from them can be just as good as the knowledge  
9 derived from more traditional means.

10 DR. QUINN: The AHRQ has got a bible, a 200-  
11 page book called Using Registries for Outcomes Analysis,  
12 that came out a year or two ago that a lot of people have  
13 not heard of. I just heard about it a week or two ago.

14 MS. ASPINALL: Michael.

15 DR. CRITELLI: I think we have to be mindful of  
16 two things. One is that the patients are going out and  
17 seeking out their own data sources through sites like  
18 WebMD. They are connecting the dots not necessarily  
19 accurately or in a scientific way, or they get anecdotal  
20 information from friends or family. I know there is a  
21 concern about scientific rigor, but there is a vacuum  
22 that is caused by the absence of clinical trials.

1           I remember what happened with the bone marrow  
2 transplants. What really got that into the legislation  
3 was not science, it was advocacy. Had science come in  
4 with something less than a randomized clinical trial but  
5 reasonably valid, they probably could have short-  
6 circuited that. Because we wanted to wait for the  
7 perfect answer, the efficacy groups got there first.  
8 They got legislation passed.

9           By the way, one of my frustrations with the  
10 current federal health care reform debate is there is  
11 absolutely no appetite to take on legislative mandates at  
12 the state level, which are very often based on bad or  
13 nonexistent science. I think if we are going to look at  
14 this problem of evidence-based medicine, we have to think  
15 about what is the mechanism to revisit that.

16           It was fortunate that in the bone marrow  
17 transplant example the scientific evidence was so  
18 compelling and the results were so bad that states had to  
19 reverse themselves. In most cases it is not that simple.  
20 It is a little murkier, and bad medicine gets practiced  
21 and institutionalized because insurance is forced to  
22 cover it.

1           I think that we have to recognize that there is  
2 going to be a vacuum here if we wait for perfect  
3 evidence, and it will probably get filled the wrong way.

4           MS. ASPINALL: Michael, it seems almost too  
5 good to be true as you describe the programs that you  
6 have put in place at the employee sites and really owning  
7 that. You see the improvement. What reaction have you  
8 gotten from your employees in terms of putting this in,  
9 and how long did it take, if you can share that, to begin  
10 to get a return on investment? When you hear this  
11 multiple years later, it looks like everybody should take  
12 this up, and I'm sure it is not as easy as it appears  
13 when you look at great results 10 or 20 years down the  
14 road.

15           DR. LUETKEMEYER: With the colon cancer, the  
16 return on our investment will be about three to four  
17 years because we have about 250 new colon cancers per  
18 year diagnosed. Sixty percent of those have metastasized  
19 to at least the lymph nodes. They are getting  
20 chemotherapy at that point in time. It is about \$50- or  
21 \$60,000 a year in chemotherapy.

22           Last year four cancers were diagnosed in

1 average-risk people with behavioral changes. We are not  
2 even targeting the higher-risk individual yet. They were  
3 all basically curative at biopsy or with surgery, not  
4 needing chemotherapy.

5           When you attack a disease that we are late in  
6 diagnosing, the return on investment for an employer is  
7 quite good if you can cure it. Basically, 70- to 80  
8 percent of colon cancers should be curable, or  
9 preventable.

10           DR. CRITELLI: There is a range of ROIs from a  
11 few months for immunizations and avoidance of outside  
12 doctor costs from a clinic to plan design changes that  
13 avoid hospitalizations that probably take two to four  
14 years of payback. We try to figure out disease by  
15 disease what is the ROI by plan design change. I know  
16 that sometimes when we raise the copay we get immediate  
17 feedback.

18           [Laughter.]

19           DR. CRITELLI: We raised the copay on MRIs, and  
20 we saw, the next year, a reduced use of MRIs on something  
21 like chronic disease medication, where the goal is to  
22 avoid a future emergency room visit or hospitalization.

1 I think the payback is longer-term. So we get a mix of  
2 paybacks.

3 Unlike government, which has balanced budget  
4 requirements within a calendar year, we are able to look  
5 beyond the calendar year. That gives us an advantage  
6 over public plans.

7 DR. TEUTSCH: We have been talking about the  
8 health care system. Mara has pointed out that a lot of  
9 the thoughts about genomics have been about how that can  
10 relate to prevention and personalized care, but that is  
11 really about delivering care at an individual level. We  
12 haven't really talked about the fact that 16- or 17  
13 percent of people don't even have insurance.

14 There are major equity issues. The way we have  
15 been delivering a lot of population health services, as  
16 many of you manage populations, is more on an across-the-  
17 board basis, through changes in policy and more  
18 traditional public health measures.

19 Not that this should ever be an either/or kind  
20 of thing, but we only deliver about 3 percent of our  
21 current health dollars in the prevention sector right  
22 now. A major change in paradigm back to individual, as

1 opposed to population, health approaches as we move in  
2 this direction.

3 I wonder if you all could reflect, because I  
4 know you manage populations, about how this committee can  
5 really think about how we optimize the social benefit of  
6 all of this. It is not exactly a zero sum gain. It is  
7 not coming out of the same pocket, but we are still  
8 likely to see increasing money devoted to health care as  
9 opposed to some of the population services and the  
10 underlying determinants.

11 DR. NUSSBAUM: One of the things that is being  
12 debated in health care today, if they are particularly to  
13 cover the 46 million uninsured, are basically a basic  
14 benefit package. Many of us who develop products believe  
15 that preventive services should be first dollar covered.

16 I think, Mara, you asked about consumer-  
17 directed health plans. For us at WellPoint, those are  
18 our fastest-growing health plans. These are plans where  
19 you actually can have your own savings account. Beyond  
20 having your savings account, then you have shared  
21 accountability for spending. After a certain amount it  
22 becomes a coinsurance model, so more of an insurance

1 model.

2           What is critical about those accounts is the  
3 benefit design encourages preventive services. The first  
4 dollar preventive services don't come out of your savings  
5 account, they are paid for by the health plan. That is a  
6 good policy. In fact, when we looked at our products, we  
7 saw an increase in preventive services that went beyond  
8 that 3 percent. That is more developed preventive  
9 services, and I think that is what we have to do.

10           When you look at the Rand work from several  
11 years ago and the more recent work in children, we should  
12 make sure that preventive services are delivered 100  
13 percent of the time. It is just not acceptable when you  
14 have 40- or 50 percent. That should be part of pay for  
15 value in any of the government or private sector  
16 reimbursement.

17           To take it to the next level, what, then, are  
18 the genetic tests that are preventive services? Mara,  
19 you drew a nice curve. I would still suggest that the  
20 curve wouldn't happen that way. You would see an early  
21 blip in expenditures, and then you would have an  
22 interval, but that interval would say you are at

1 increased risk for these illnesses, so therefore, for  
2 you, exercise; for you, nutritional counseling; and for  
3 you, a different lifestyle can hopefully prevent that  
4 increased peak later.

5           If it is a lipid-lowering therapy, or if statin  
6 works, you would have comparative effectiveness research  
7 showing that it is a generic statin, and those therapies  
8 could be begun later in life.

9           I think you are assuming that the sum will be  
10 the same. I think the sum will be less. There may be an  
11 increased investment at the front end, but the payback  
12 will be in lifestyle.

13           We have talked about the genetic determinants  
14 of health, but of course there are the environmental and  
15 social determinants and all the other determinants of  
16 health. Those are the ones that we can so most  
17 profoundly affect early on in life.

18           DR. LUETKEMEYER: What is helpful to me at  
19 Caterpillar when we talk about preventive services is  
20 that the U.S. Preventive Service Task Force is an  
21 external body, hopefully non-biased, which comes out with  
22 Grade A and Grade B recommendations.

1           That is not Rick Luetkemeyer telling our  
2 executive office these are things that are proven, it is  
3 some external body that has looked at the data and made  
4 tough choices. PSA and CT colonography are still  
5 controversial with the incidental findings and all of  
6 that.

7           Again, I trust the judgments of the U.S.  
8 Preventive Service Task Force. What sold it at  
9 Caterpillar to the executive office was hearing about the  
10 U.S. Preventive Service Task Force over and over again.  
11 Then they bought into it and decided to cover, at 100  
12 percent, all their Grade A recommendations. If we had  
13 something like that in genomics, that would be extremely  
14 helpful.

15           MS. ASPINALL: Mike, do you have a comment  
16 before you need to leave?

17           DR. CRITELLI: I would agree with the comments  
18 about 100 percent coverage. I would just say we go a  
19 step further and actually deliver the care and the  
20 services on site or at a place that is very convenient.  
21 I think, in addition to coverage and plan design, the on-  
22 site or near-site delivery is important.

1           We actually go a step further with prenatal.  
2 We not only deliver the prenatal counseling on site and  
3 cover it, we actually give people a gift afterwards. The  
4 savings and the payback for reducing the population of  
5 low-birth weight babies are so good that we are willing  
6 to pay people. We don't give them a lot of money. We  
7 give them a portable baby carrier, but it works. We get  
8 a very high percentage of people in that program. Over  
9 the years, we have significantly cut down the population  
10 of premature, low-birth weight babies.

11           I would go even a step further. If you want to  
12 say first dollar, I would make it on-site delivery plus a  
13 subsidy for certain kinds of services that have  
14 exceptional medical benefit.

15           DR. TEUTSCH: I just want to follow up on  
16 Rich's comment. I worked at the Preventive Service Task  
17 Force for many years, so that is music to my ears. The  
18 standard for making a recommendation for something that  
19 is going to be delivered to the general population is  
20 very high, so there is a high degree of assurance that it  
21 works.

22           This gets back to the discussion that we had

1 earlier. How sure do you have to be. That high bar has  
2 allowed there to be general acceptance and moving in the  
3 direction, as you said, Sam, towards no copays. Those  
4 are all good things, but that is what we are talking  
5 about here. How do we get the kind of information that  
6 would justify that sort of thing as well?

7 DR. EPSTEIN: I'm glad you raised that. It  
8 does actually circle back to the conversation about  
9 publications and so-called quality of methodology as  
10 well. If you dig deep into the U.S. Preventive Services  
11 Task Force criteria for an A, largely it is looking for  
12 RCTs. Things that are Bs or Cs are observational  
13 studies. Therefore, they are deemed by reviewers and  
14 medical journals as being not so good. Therefore, people  
15 who are reimbursing are thinking they are not so good.

16 You are setting up a system that automatically  
17 decides from the get-go what is a better study than  
18 another study. I do think if this committee could work  
19 on that question -- I don't know if it is in your  
20 purview. It is certainly controversial -- it wouldn't be  
21 bad.

22 Let me just throw out the idea that maybe the

1 criteria could be different depending upon the disease  
2 you are dealing with. If there is a genetic relationship  
3 within a disease that is a life-killer, something  
4 terrible, maybe you will accept different evidence than  
5 you would if it is something else. A lot of the criteria  
6 I have seen in these kinds of evidentiary standards apply  
7 to everything, whether we are talking about a life-saving  
8 therapy, where you take the risk and allow some other  
9 sorts of studies, versus cosmetic surgery or something  
10 different.

11           So there may be a way to flex the criteria  
12 according to the condition you are talking about. That  
13 is just a thought.

14           DR. ARMSTRONG: This is Joanne Armstrong. I  
15 would just add that AHIC and others are working on  
16 essentially creating an evidence-based medicine matrix.  
17 If you can imagine, the X-axis of the matrix would be the  
18 medical benefit that accrues. On the far left side would  
19 be negative medical benefit and, all the way to the  
20 right, substantial medical benefit. Imagine, along the  
21 Y-axis, plotting the level of certainty you have about  
22 the effectiveness from the published literature. So at

1 the high end of it is high certainty; near the bottom  
2 would be low certainty.

3           You can then map to that types of studies. The  
4 traditional USPSTF A level would be in the far upper  
5 right-hand range. Below that, you might have studies of  
6 moderate certainty of effectiveness but substantial net  
7 medical benefit. Those types of studies might be the  
8 ones that one would go to for coverage with evidence  
9 collection, no potential significant benefit but so-so  
10 certainty of the science.

11           It is that type of grid or matrix that would at  
12 least allow you to map the evidence that exists now, and  
13 the evidence that is being accumulated in all these  
14 different ways that we talked about to an ultimate level  
15 of certainty. I think that that is a way to go.

16           The challenge is to get all the various  
17 entities that use these evidence-based grids and matrices  
18 to agree that it is sufficient enough for a coverage  
19 position. Without that, then you will get this  
20 invariable variation in what is covered and what is not,  
21 and different requirements for evidence. I do think that  
22 that is the way to go.

1 MS. ASPINALL: Sam, did you have a comment?

2 DR. NUSSBAUM: It seems to me that we can look  
3 at legacy issues in health care whose effectiveness have  
4 been debated, like arthroscopic knee surgery or back  
5 surgery. We know that there is a lot of science that is  
6 unproven except within a framework of certain medical  
7 professions.

8 The question, then, that we could ask ourselves  
9 is, as we are emerging with new science, new biology, and  
10 a new set of diagnostic and clinical tests, don't we want  
11 to build a very different framework here. As opposed to  
12 everything we have done in the past, we have to reverse  
13 legacy issues and try to say there is no better science  
14 than genetics. It is going to be a science that we are  
15 going to base on clinical science. I think that that  
16 would be one way of going forward.

17 When we have new dollars being devoted to not  
18 just comparative effectiveness but effectiveness or  
19 outcomes research, we say this will be a field that  
20 should emerge along that dimension. That can be a  
21 recommendation.

22 Now, where the funding for it comes in, you

1 have early-phase companies as opposed to large PhRMA.  
2 That is a much more problematic area, but let's not find  
3 ourselves, five years from now, in the very same set of  
4 issues that much of medicine is today, where 40 percent  
5 of what we do is not shown to have proven benefit.

6 DR. WILLIAMS: I appreciate Joanne's matrix,  
7 but I think, again, we are making an a priori assumption  
8 that the best evidence is RCT evidence.

9 We have heard Robert and Bruce say, and  
10 certainly I'm saying, that there is evidence that is  
11 emerging in the real world that actually tells us, much  
12 better, what works and has that substantial medical  
13 benefit. I think if we continue to say we understand  
14 what the best type of evidence is, and we are always  
15 going to throw it against that matrix, we will in fact  
16 lose a tremendous amount of value about what really  
17 works.

18 DR. TEUTSCH: Just to be clear, having been  
19 involved with the development of that matrix, it is based  
20 on the level of certainty, not RCT evidence, and you can  
21 get there in a lot of different ways. It tries to move  
22 beyond a clear hierarchy of study design approach.

1 MS. ASPINALL: Don't we need some guidelines in  
2 order to do that? It is still the current standard for  
3 therapeutics and other interventions and diagnostics,  
4 even if it doesn't fit. I think we need a process in  
5 which it is acceptable to do, because at the early stage  
6 of a company or anybody's development you can't count on  
7 being able to not only prove your point but prove a new  
8 process at the same time.

9 And with that, Gwen?

10 MS. DARIEN: I'm sorry. I'm taking this a  
11 little bit out of sequence, but it keeps coming up. I  
12 wanted to go back to bone marrow transplants for women  
13 with breast cancer. I think that there were many, many  
14 things that happened. I have published a number of  
15 stories on it. I think it is absolutely true that it was  
16 a case of advocacy run amok. But that is only one part  
17 of it.

18 There was one advocacy group that was always  
19 against it because there was not enough evidence, and  
20 that was the National Breast Cancer Coalition. Most of  
21 the other breast cancer groups were pushing to have it  
22 done outside of clinical trials.

1           There were also oncologists that were true  
2 believers, and there were many, many people that were  
3 doing it. I witnessed practically a fist fight at a  
4 consensus panel at NCI among two major oncologists.

5           People were looking for reasons to do it.  
6 There was falsification of the data by Dr. Bezwoda in  
7 South Africa, and that was the last study that showed  
8 that it had a benefit. It was also the media. We have  
9 all gone through this with clinical trials, but the media  
10 demonize the insurance companies for not paying for  
11 those.

12           Was it Caterpillar that only would cover it  
13 through clinical trials?

14           DR. LUETKEMEYER: Yes. We had a stand-alone  
15 insurance policy different from our standard insurance  
16 policy.

17           MS. DARIEN: Right. That was a very courageous  
18 thing to do. That is part of the problem. Everybody  
19 went outside of a clinical trial. Patients absolutely do  
20 seek information, some of which is better, and some of  
21 which is not.

22           I just wanted to say that it was not just that

1 patients were dying to get it and were going around the  
2 system; it was the whole system that fell down on this.  
3 One of my aunts actually died of a bone marrow  
4 transplant, which she did not need to have in the very  
5 beginning of that time.

6           It was a system-wide failure, not just  
7 individuals or the media pushing. Caterpillar and some  
8 other people were very courageous in only covering it  
9 within the context of a clinical trial.

10           DR. EVANS: I just wanted to make two points.  
11 It is really nice to see such a nuanced discussion about  
12 what types of evidence are going to be used and the  
13 thresholds for evidence. I think we are all very  
14 cognizant of the fact that RCTs are not going to be able  
15 to answer everything.

16           We have to be very careful, of course, in our  
17 enthusiasm, especially for things genetic, to not rush to  
18 the other end of the spectrum, which is, "Gosh, this  
19 sounds so good it must be true." Medicine is riddled  
20 with examples that have hurt people because of that kind  
21 of enthusiasm.

22           The second thing is, I just wanted to

1 underscore something that is on at least two of Joanne  
2 Armstrong's slides, which I think is very, very  
3 important. At the bottom of two of her slides, she says,  
4 "Same coverage policy principles for genetic technologies  
5 as for all other technologies."

6 I don't think, in our enthusiasm for genetics,  
7 that we should forget that levels of evidence are levels  
8 of evidence. The game is not different because we are  
9 dealing with genetics, or simply because of the public  
10 enthusiasm for things genetic and our own enthusiasm. I  
11 don't see any difference between the standards of  
12 evidence that need to be applied in genetics and for  
13 other things. I think it is very important for our  
14 committee to remember that.

15 DR. QUINN: I have been thinking about that a  
16 lot. I think what is different about diagnostic tests is  
17 that if you do a therapy, like radiation for prostate,  
18 10-year survival means you have to wait 10 years. People  
19 may look for surrogate markers, and that is a whole  
20 literature itself, but you have to wait 10 years. If you  
21 have a diagnostic test that is very tightly linked to the  
22 therapy, then repeating that 10-year trial people begin

1 to feel is pointless.

2 I think the difference is that when you know  
3 enough about the therapy, and if the logical linkage from  
4 the diagnostic test to the therapy is tight enough, that  
5 is where people have to make the adjustment and the link.

6 The other thing with diagnostic tests is in  
7 genetics they can be so fast. I'm sure in the 1970s  
8 people were inventing great new things in chemistry, like  
9 a great new way to put three hydroxyls on a double-benzene  
10 ring. Nobody said, "We have to get this into patients  
11 right away," because you knew you had to do animal  
12 experiments and phase one, two, and three trials for six  
13 years.

14 With the genetic tests we say, "Oh, here, I  
15 discovered this gene and I can do it in six hours in the  
16 lab." There is no natural barrier to using it built in.  
17 We have to use judgment to make that barrier and refine  
18 it.

19 DR. FITZGERALD: I think that is a great  
20 example. I think it, again, kicks back to something that  
21 this committee can continue to attempt to address and  
22 digest.

1           It is a great discussion about evidence-based  
2 medicine, but of course, just as your example points out,  
3 what counts as evidence is going to depend upon what the  
4 goals are, who decides what the goals are, and which  
5 goals you are pursuing. That then gets back to who gets  
6 to decide which goals are going to have preeminence. Of  
7 course, that then gets back to something that we have  
8 wrestled with over the years, and that is public and  
9 stakeholder engagement. Different people have different  
10 goals, obviously, and should.

11           So, how does this committee go forward  
12 wrestling with this idea. Perhaps we are a little  
13 skewed, even just looking around the table, in the sense  
14 that I think everybody here, pretty much, is on board  
15 with evidence-based medicine.

16           Perhaps evidence-based medicine is a foundation  
17 to work against other political pressures and interests  
18 that will come into health care reform, but I guarantee  
19 you they will be there. I guarantee you those interests  
20 will be the primary goal of other people.

21           So, how evidence-based medicine is to be  
22 balanced against that is going to be a huge political

1 question that I would say will have to be addressed in  
2 healthcare reform. Those interests will not go away.

3 I think one thing that is important is getting  
4 those standards really straight and clear because they  
5 will be tested under fire, no doubt about it.

6 MS. ASPINALL: Is there a question at the end  
7 of all that?

8 DR. FITZGERALD: How much work do you want to  
9 do? Joe and I have a list, as we are leaving.

10 [Laughter.]

11 MS. ASPINALL: Let me take off on that comment.  
12 We have talked about study design and integration of  
13 databases. What should this committee do to make a  
14 smoother future?

15 We are not trying to fix the problems, per se,  
16 because these are problems that we are having in  
17 anticipation of new technologies coming forward, but we  
18 actually do have limited resources and time and need to  
19 prioritize how we go forward to help the Secretary and  
20 HHS deal with the future of genetics, health, and  
21 society.

22 We have gone through a major process to get a

1 small number of priorities, but I'm going to open it up  
2 more broadly to the group. I'm going to say pick one.  
3 Otherwise it becomes too broad. Pick one area where we  
4 could come in with guidelines or recommendations to the  
5 Secretary that would impact helping genetics move  
6 smoothly into the future, regardless of how you value  
7 them.

8 DR. EPSTEIN: I'm just thinking out loud with  
9 you. Perhaps the Committee could weigh in on principles  
10 that you could gain concurrence around that would  
11 facilitate the population having access to their own  
12 genetic information. I think you could outline what  
13 those principles are. They could be some of the things  
14 you heard in the discussion today.

15 MS. ASPINALL: Are you talking about consumers?

16 DR. EPSTEIN: I'm sorry. No, I just meant for  
17 the greater good, figuring out how to get access to this  
18 new technology as it is emerging. What would be the  
19 principles under which one would think about that.

20 One thing I heard today from James over there  
21 might be don't treat this any differently than we do a  
22 lot of other new technologies. Another might be, what is

1 the evidence or what are the evidentiary standards that  
2 you feel are needed. You could list out what those  
3 principles are, with a little discussion under each. You  
4 would be putting a guidepost on the highway for people  
5 who are trying to figure out how to provide access going  
6 forward.

7 DR. QUINN: You will have to come back to me.  
8 I didn't realize you were going in order. I'm still  
9 thinking.

10 MS. ASPINALL: You get a pass in the first  
11 round, but we are coming back. Sam. Joanne, we haven't  
12 forgotten about you.

13 DR. NUSSBAUM: I think it would be good to  
14 start with principles. We have articulated some. For  
15 example, the evidentiary bases should be comparable. I  
16 would go beyond even that. I have not looked at all of  
17 the publicly available information, but a big discussion  
18 today was coverage of these tests. Have we as an  
19 organization -- and again, I'm new to the Committee --  
20 looked at all of the private and public payers and where  
21 there are similarities and variances in tests, for  
22 example? That might be useful to do.

1           The other would be, and I know that this is  
2 something that AHRQ has taken on, but does AHRQ or  
3 another agency take on producing the evidence bases, as  
4 the IOM recommended, of what works in genetic testing.  
5 You would have a roadmap of groups of people that have  
6 come together with strong scientific knowledge and who  
7 represent public policy, advocacy, and payers. Then see  
8 if you can get commonality of principle.

9           Joanne referenced Steve Pearson's work, and the  
10 work of our Steve and others. If we have something that  
11 is unproven but very promising -- for example, a genetic  
12 marker for breast cancer or colon cancer -- something  
13 that we think could really make so much difference  
14 because it is dealing with a very big issue, do we take  
15 that and then organize a national registry or  
16 observational study or database going forward. I think  
17 to define that path forward as a strong recommendation in  
18 these critical areas could emerge from the principles  
19 that are shaped.

20           DR. BILLINGS: Sam, would you then suggest  
21 that, across the models of provision that you all  
22 represent, everyone would basically have access to those

1 tests that had passed through the evidentiary process?

2 DR. NUSSBAUM: I will speak to health plans for  
3 coverage decisions, or CMS. Barry is not here with us.  
4 If the evidence is overwhelming and there is net clinical  
5 benefit, I can't envision, even under the resource  
6 constraints that we are living under, that anyone would  
7 not cover that therapy.

8 The public perception is that health plans are  
9 limiting care. I would say, give me an example of any  
10 major health plan, like Aetna or United, where the  
11 evidence is clear where the health plan has said no, or  
12 where the evidence is clear where CMS has just not  
13 covered it. I know we heard some examples of preventive  
14 services, but I will stick with the health plans for a  
15 minute.

16 I think, Paul, there would be commonality when  
17 the evidence is clear. It is when the evidence is  
18 ambiguous and uncertain that we have this.

19 DR. BILLINGS: In my experience in going to  
20 health plans, what constitutes adequate evidence is a  
21 debate. I also have had the experience that different  
22 plans -- maybe not Aetna, WellPoint, and United, but

1 other plans -- because of regional variation in culture  
2 and other things, have been slower to adopt certain  
3 standards, let's say in prenatal testing, than others.  
4 That has just been my experience.

5 DR. WILLIAMS: There is also the benefit  
6 issues. The purchaser from the health plan may say, we  
7 want a plan that does not cover genetic tests, we don't  
8 want to pay for genetic tests. There are issues related  
9 to that where it is not whether it is good or bad, they  
10 just don't want to pay for any of it.

11 DR. NUSSBAUM: You are speaking about the self-  
12 insured employers and the risk exemption. I would think,  
13 in general, a health plan's responsibility is to cover it  
14 if something is compelling and it has net health value  
15 that is linked over time. I haven't seen many of those  
16 examples. I think there are some in bariatric surgery,  
17 but that is because people felt that there were other  
18 alternatives that were not tried, like nutritional  
19 counseling and other approaches. But, you are right.

20 MS. ASPINALL: Richard.

21 DR. LUETKEMEYER: I think this committee could  
22 learn from just looking at the pharmaceutical companies

1 and what has happened with PhRMA drug costs. You asked  
2 earlier about high return on investment. For  
3 Caterpillar, the highest return on investment, or the  
4 quickest, is on drug cost. It is the "me too" drugs out  
5 there. It is the direct-to-consumer advertising and the  
6 free samples.

7           Then I read about the direct-to-consumer  
8 advertising by genetic companies. That scares the hell  
9 out of me. Your surveys show that the doctors are very  
10 uncomfortable with interpretation of this. Now we take  
11 the next step forward and say the consumer is a wise  
12 choice. To me, the Committee ought to take a stand  
13 against direct-to-consumer advertising by genetic  
14 companies until they have outcome data that everybody  
15 will support. That is my thought.

16           MS. ASPINALL: Thank you. They are smiling  
17 because that one is on the agenda. We haven't taken an  
18 opinion on it, but the direct-to-consumer testing in  
19 particular, and probably advertising as a piece of that,  
20 is definitely on the agenda. Joanne.

21           DR. ARMSTRONG: I think I would second some of  
22 what Sam said. I think that to review the evidence

1 framework to support coverage policy is important to try  
2 to get some uniformity across the public sector and the  
3 private plans and to really explore two questions.

4           One is, are the right questions being asked to  
5 support coverage decisions. Bruce raised this question  
6 of whether we really should be thinking about diagnostics  
7 differently than everything else. I don't think so, but  
8 that needs exploration. Are we asking the right  
9 questions if the technology just helps you dose a drug  
10 that is on the market anyway, for example.

11           I think some greater consensus is needed, if it  
12 is even possible, around what is ideal evidence versus  
13 sufficient evidence. I think that would be very  
14 productive both for the plans and the manufacturers. It  
15 is important here that we get some agreement between the  
16 private payers, government payers, et cetera, about this  
17 framework once it is developed. I think those are  
18 productive areas to do some work in.

19           MS. ASPINALL: Each of you, Joanne and Sam in  
20 particular, when you talk about evidence, do you mean  
21 scientific and economic?

22           DR. ARMSTRONG: Scientific first.

1 DR. NUSSBAUM: Absolutely. Scientific first  
2 and then one can look at the economic value.

3 DR. ARMSTRONG: Exactly.

4 DR. LUETKEMEYER: I would say scientific first  
5 and then outcome, so that we are not just measuring  
6 efficacy, we are measuring effectiveness. Just because  
7 you tell me something doesn't change my outcome. It is  
8 the whole package that works with me with knowledge. To  
9 me, it is the Committee's job to find out improved  
10 outcomes, and then no one is going to argue.

11 MS. ASPINALL: Bruce?

12 DR. EPSTEIN: I think that the system should be  
13 more geared to actively promoting and rewarding things  
14 that are cost-saving technologies. There are some  
15 different ways of defining cost effective, but there are  
16 some technologies that look cost effective almost no  
17 matter how you define them. That is what I'm talking  
18 about. I think the system does not do that.

19 We have our \$2 trillion system. It is going to  
20 be 10,000 per man, woman, and child. We don't need  
21 things that add more cost to add more quality. I think  
22 there are plenty of things out there.

1           I was just at a conference in place of Rick  
2 Carlson, who died a few weeks ago. In addition to being  
3 a public policy expert on genomics, he had written for 30  
4 or 40 years saying that our health care system is upside  
5 down, everything is wrong, look at what is in front of  
6 your face. His early works in the '70s are very  
7 illuminating.

8           We know, right now, that people spend half as  
9 much between doctors and patients in Minnesota and Oregon  
10 versus New Jersey and Texas. We know that there are  
11 groups in society -- people have done studies on Mormons,  
12 Seventh-Day Adventists, Christian Scientists, and so on  
13 -- who have half the health care costs and the same  
14 longevity. The stuff is in front of our face. We just  
15 need to encourage it to be done.

16           MS. ASPINALL: Marc, and then any last comments  
17 or questions.

18           DR. WILLIAMS: I had another question, but what  
19 Bruce just said really triggered something for me. This  
20 was something that Jim and I were whispering about  
21 earlier.

22           Do we need to step up to the plate, given what

1 you just said, which I heartily agree with, and say,  
2 Secretary, don't invest anything in genetics and  
3 genomics. We have to fix what we already have. We are  
4 just going to add cost. We don't have anything that is  
5 going to demonstrate that we are really going to be able  
6 to save you any money in a reasonable time frame,  
7 particularly if Medicare is going to go belly-up by 2016.  
8 Disband the SACGHS, get back to basics.

9 [Laughter.]

10 DR. WILLIAMS: I recognize that if I were to  
11 pick up my stakes and go to Ethiopia, there would be no  
12 need for my services as a geneticist. There would be a  
13 lot of need, perhaps, for my services as a general  
14 pediatrician.

15 In some ways, we are operating in luxury on the  
16 fringe. Do we need to be that basic in terms of how we  
17 examine what it is we are really about?

18 DR. QUINN: I'm being misquoted.

19 [Laughter.]

20 DR. QUINN: We should encourage things, even if  
21 they are in genetics, that look like they can be cost-  
22 saving. I think there are examples of things that are.

1 If you have a \$1,000 test that gives you more effective  
2 \$30,000 chemotherapy, that is what I mean. Then, if  
3 Medicare says, we only pay \$18 for that, it will never  
4 exist. That's what I meant.

5 MS. ASPINALL: Jim.

6 DR. EVANS: I agree with what Marc said.

7 MS. ASPINALL: About going to Ethiopia?

8 [Laughter.]

9 DR. EVANS: I agree that we have to be very  
10 careful to not oversell genetics. I think there has been  
11 a raft of papers that have come out recently looking at  
12 the utter lack of value of genetics when you add it to  
13 standard risk factors for coronary artery disease, breast  
14 cancer, or diabetes.

15 I think the answer to all of that is exactly  
16 what Bruce just said, which is you have to use the same  
17 criteria for genetics as for other things. If there are  
18 aspects of genetics that can improve care and reduce  
19 cost, then that is fantastic.

20 We have to be very careful to not oversell  
21 genetics, not only because it is the wrong thing to do  
22 but because there will be a backlash. People will say,

1 what happened to all of this great genetics that you  
2 promised.

3           LT COL WATTENDORF: I stand in from the  
4 Department of Defense, which is a health care  
5 organization, as I said this morning, with 9 million  
6 beneficiaries. In its own way, it has it similarities to  
7 yours.

8           One of the key areas that we are looking at in  
9 terms of picking the right prevention strategy is, it is  
10 very difficult to use USPSTF because USPSTF can only look  
11 at a few diseases over a certain period of time. It  
12 takes a long time, many, many studies, and many, many  
13 people.

14           If you look at prostate screening, for example,  
15 with PSAs, the litmus on it is now that there may not be  
16 a lot of evidence behind the PSA. However, if you go  
17 back into the language of the USPSTF and you have an  
18 African American with a strong family history and so  
19 forth, there may be indications of those who are at  
20 higher or lower risk. That is really not bubbling to the  
21 top of that recommendation the USPSTF.

22           That brings me back to what all three of you

1 were alluding to, which is to get that evidence. I don't  
2 think we are going to get it, obviously, with RCTs. As  
3 you stratify out the variants of these people into  
4 smaller and smaller cohorts, what we need is the clinical  
5 data with these modest genetic variants.

6           So, is there a way forward where we could take  
7 our federated research architecture, where we have our  
8 clinical database in DOD and our EHRs, and put that  
9 together with WellPoint's, for example. Could we match  
10 our cohorts that have had certain clinical  
11 characteristics in certain SNPs or certain genotypes with  
12 what has gone on with those in WellPoint.

13           Is there a way that the federal government can  
14 allow us to match those data points. Right now it is  
15 very, very difficult, with HIPAA and with what we have  
16 heard about, for us to be able to match the clinical  
17 phenotypes with the genotypes and with other  
18 organizations' data. It is almost impossible to do.

19           MS. ASPINALL: Michael.

20           DR. AMOS: I think the Committee needs to  
21 supply the Secretary with information on what exactly  
22 genetics can be used for. What is the value. In most of

1 the discussion today, and most of the time when we talk  
2 about genetics here, we are talking about nucleic acid  
3 testing, but it depends on how you define genetics.

4 Nucleic acid testing is going to provide great  
5 value in medicine, but is it going to save money in the  
6 long run. That is really unclear. It may, on a case-by-  
7 case basis.

8 You defined genetic testing in the task force  
9 report as any kind of test that you can run that gives  
10 you information on genetics. I would expand that a  
11 little bit. Maybe genetic testing is understanding the  
12 environment's influence on the genome. That could be of  
13 great value to medicine.

14 In fact, that is what I talked about in my  
15 presentation last time, trying to understand what it is  
16 that the environment does to the genome that creates  
17 chronic disease. Sam started his talk hitting the nail  
18 right on the head. We are going to be a society of aging  
19 people with chronic diseases. The biggest cost in our  
20 health care system right now is chronic diseases.

21 So the question really is, back to what I first  
22 said, if we are going to limit our discussion to nucleic

1 acid testing, DNA and RNA, what is the value proposition.

2 If there is no value proposition, I think expanding the  
3 discussion to other ways of looking at genetics is an  
4 appropriate way to do it. That is what I would be  
5 looking for if I was Secretary of Health and Human  
6 Services.

7 MS. ASPINALL: Sheila.

8 DR. WALCOFF: Actually, I have two points on  
9 both of the points that were just made in terms of what  
10 the Department has been looking at. Some of this  
11 information has been getting up to the top, which I think  
12 is a good thing for this committee.

13 Under Secretary Leavitt's Personalized  
14 Healthcare Initiative, we identified four prongs that we  
15 were going to try to address. We only had 1,000 days at  
16 that point to try to make some progress on that.

17 One of those prongs was trying to figure out  
18 exactly, as Dan mentioned earlier, how to take all of  
19 these existing databases with this great outcome,  
20 phenotypic, and genomic information, and do what we had  
21 essentially described to the Secretary as a Google  
22 search, but one for investigators. You would obviously

1 have some kind of consent and privacy-based protections.

2 We actually did in the FY '08 budget get \$15  
3 million in seed money to start looking at how we might  
4 accomplish something like that. That didn't make it into  
5 the final budget, but I think that was a really important  
6 point.

7 Folks like Greg Downing and others here at the  
8 Department are already starting to think about how we can  
9 really make this information that we already have  
10 something that is more useful to move us forward beyond  
11 the traditional gold standard of randomized control  
12 trials.

13 To your point, NIH is doing some work on  
14 genomics and the environment. I can't remember the exact  
15 acronym for that particular work that is going on, but  
16 maybe we could get some additional information on that at  
17 the next meeting. That could be used to further our  
18 recommendations and how we might narrow our  
19 recommendations down to something that the Secretary  
20 could use.

21 DR. FEERO: Mara, I have two points. First is  
22 a tool that Marc might even be tempted to use in

1 Ethiopia. It is right in front of us and doesn't cost  
2 very much. It is family history. Frankly, we know  
3 frighteningly little about the utility of family history  
4 as a screening tool for preventive services when you  
5 really look at the literature base. I think that will be  
6 borne out in the State of the Science conference.

7           It would be hard to argue that that wouldn't be  
8 a good place to start if you were looking for a low-cost,  
9 potentially high-impact preventive services tool. It  
10 doesn't have anything you can sell to anybody at this  
11 point in time associated with it.

12           The next point is, we have a lab to study  
13 evidentiary requirements, and that is EGAPP and its  
14 process. Looking at their paper that they just  
15 published, they have had folks directly involved in that  
16 with USPSTF. Recommendations from this committee could  
17 go back to that body to begin to play around with looking  
18 at setting different thresholds, and what the effects  
19 would be of setting different evidentiary thresholds than  
20 perhaps USPSTF uses as a parallel track.

21           I think it would be very hard, right now, to  
22 get USPSTF to pay solid attention to genetic applications

1 given the current literature base. In fact, I know for a  
2 fact they wouldn't take up family history as an entity  
3 basically for that reason. That has more literature  
4 around it than many of these other, newer tests.

5 DR. WILLIAMS: You can decide if this is going  
6 to be an in-bounds or out-of-bounds topic, but there are  
7 two principles behind this question. One is that you  
8 charged us to think about the future. The second is, I'm  
9 trying to one-up Kevin in terms of the difficulty of the  
10 questions asked here.

11 Michael said something that triggered an idea.  
12 He basically said, my one wish for health care reform is  
13 that we address state mandates. The idea is that we are  
14 going to inevitably be embedded in health care reform in  
15 the next couple of years. It seems that we have to have  
16 some mechanism as a body to be able to respond to that  
17 changing environment.

18 The question, which I would pose more to you as  
19 moderator than to this group, is since we have a group of  
20 folks who are clearly thinking about how this is going to  
21 impact their various sectors, would it be reasonable to  
22 hear their thoughts about where they think it is going to

1 go and how that might impact the work that we do?

2 MS. ASPINALL: I think that is very much in  
3 bounds and very interesting. Actually, in preparing for  
4 this we talked a little bit about that.

5 I think that that is useful to the extent that  
6 folks are comfortable in talking about both where you  
7 expect it to go and where you would like it to go. I  
8 think that is inherent in talking about the future  
9 because the near-term future will probably be the biggest  
10 single determinant of where we are 10 years from now if  
11 indeed health care reform comes out the way people  
12 continue to talk about it.

13 Can I pose that to the group, the question  
14 being, where do you either expect or would like health  
15 care reform to go? In the context of this, the Secretary  
16 is likely to come to us to ask where we think it is  
17 likely to, or should, go vis-a-vis genetics. We don't  
18 want to open the entire gamut of health care right now.

19 DR. NUSSBAUM: I think there are a few themes  
20 that I will summarize that I mentioned earlier. Number  
21 one is, I think we have to be for science and for  
22 scientific achievement and advancement for science. I

1 think when you actually look at our nation, it is one of  
2 the areas where we continue to lead the world. I think  
3 there is both good health and good scientific research  
4 that can emerge, and we can still be a beacon for the  
5 world in science. That is number one.

6           Number two, though, we have a health care  
7 system that is far too expensive. It is far too  
8 expensive for a number of reasons. One of those key  
9 reasons is we use technology before it is proven. If you  
10 look at us versus OECD nations or any comparator, our  
11 health care is not in the top 10. It is in the bottom  
12 grouping, whatever grouping we look at anywhere.

13           We need to use services that have an impact,  
14 and that speaks for genetics, genomics, proteomics, and  
15 everything else that we are going to do that is really  
16 biologically based.

17           Taking it to the next level, I believe there  
18 needs to be science that drives consensus viewpoints on  
19 coverage. I think the best place to start, because it  
20 will be about dollars, is when you look at some of the  
21 companion diagnostics for these \$100,000 biological  
22 therapies for cancer. That is a wonderful place to start

1 to test whether they will be proof points.

2 I think when we start looking at knowing our  
3 genome to predict illness and manage public health over  
4 time, we can all theorize and we can have hypotheses that  
5 can be tested, but those are not going to get proven for  
6 decades.

7 It strikes me as let's go, number one, where  
8 the best opportunity is to prove science, and number two,  
9 where the best opportunity is to provide economic review.

10 I believe that as we do that we will learn a lot. We  
11 will learn how to use databases differently and how to  
12 use registries. That will begin to take us to more  
13 rational decisions to how we can improve health and  
14 retain affordability.

15 MS. ASPINALL: Other comments?

16 DR. QUINN: I think that the biggest bang for  
17 the buck for the Secretary is companion diagnostics for  
18 the most expensive drugs. It is not just cancer drugs.  
19 Someone could have the incentive to invest in a test.  
20 Let's say you take white blood cells from someone with  
21 severe rheumatoid arthritis and you show which one of  
22 these five \$30,000 biologicals will treat that patient

1 best. If that could be done, that is worth a huge impact  
2 on the patient's health outcome and a lot of money.

3 I think there are things where genetics or  
4 molecular biology could show its bang for the buck pretty  
5 fast, but people have to be encouraged to recognize the  
6 scenario and know that they could be rewarded for  
7 investing \$20 million to do that.

8 DR. LUETKEMEYER: I would just like to add to  
9 what Bruce just said. It not only helps the person who  
10 is going to respond, it avoids the complications in the  
11 person who is not going to respond.

12 MS. ASPINALL: I would add, by the way, it also  
13 buys time for sick patients, particularly with cancer, by  
14 avoiding something that doesn't work. It is not only the  
15 side effects but it gets them something that is more  
16 likely to work more quickly.

17 DR. EVANS: I would just point out that you are  
18 basically talking about companion tests that aren't  
19 genetic. That is fine. The problem is that  
20 pharmacogenomics, even with the poster children of  
21 Warfarin and Tamoxifen, have yet to prove their worth.  
22 It is a tough problem.

1 MS. ASPINALL: Other comments or questions?

2 [No response.]

3 **Summation of Key Roundtable Issues**

4 **and Next Steps**

5 **Mara Aspinall, M.B.A.**

6 MS. ASPINALL: Let me try to summarize what I  
7 heard in terms of priorities and some of the  
8 perspectives. I won't go back over the future, but what  
9 I heard were three key issues both throughout the  
10 discussion now and earlier.

11 One is the role of direct-to-consumer  
12 information, whether that be advertising, tests, or  
13 otherwise. That is something that we as a Committee have  
14 taken on.

15 There are two other pieces to be clear about,  
16 and I think the first one would be evidence-based. I  
17 think there was broad agreement on the need for evidence-  
18 based medicine. It is very easy to say focus on the  
19 science, but what I heard, just to articulate it, similar  
20 to what we have talked about before, is that there is a  
21 need to put together a clear roadmap on how to achieve  
22 that for different aspects and different products and

1 services.

2           This committee is not going to say what that  
3 evidence is but is going to call for the need for a clear  
4 roadmap for diagnostics, procedures, or drugs, and that  
5 that is there with transparency. I think that is what I  
6 have heard everyone saying. If there is a roadmap there,  
7 people developing products and services can then use it  
8 and various entities can then refer to it to say, have  
9 you checked off the check marks on the roadmap.

10           Number three is the low-hanging fruit issue.  
11 It is a little less clear to me how the Committee can  
12 work on this one, where I think the roadmap piece is very  
13 clear. How can we encourage the use of systems,  
14 products, or services that currently exist to improve the  
15 health of Americans or, very importantly, lower the cost  
16 of health care. I'm less sure as to how we as a  
17 Committee can encourage the use of that, but that is what  
18 I see you all saying that there is a need to be able to  
19 do. We need to use the resources that we have today as a  
20 society more effectively. Marc.

21           DR. WILLIAMS: There is a fourth one that I  
22 heard, and that relates to the database data-sharing

1 issue. As we talked about yesterday, we can work with  
2 the other Secretary's advisory committees, including the  
3 new one on health information technology, to get behind  
4 the effort as a generic strategy. The specific strategy  
5 is that if you really look at issues relating to  
6 collection of genetic and genomic data, we have severe  
7 deficiencies in terms of our ability to collect that data  
8 in a coded and computable fashion at the present time.

9           That is an area where I think this committee  
10 could definitely weigh in and say if we are really going  
11 to realize some of these benefits, then we have to be  
12 able to put this data into databases such that it is  
13 computable, meaning we can run decision support and rules  
14 and other things against it. We don't have the ability  
15 to do that today.

16           MS. ASPINALL: Again, the Committee's role, to  
17 try to be specific about it, would be to outline a  
18 process and maybe key people that would have to be part  
19 of that to make this a priority for HHS going forward.

20           DR. WILLIAMS: For the genetic coding piece  
21 specifically. Basically, we should add on to the group  
22 that is saying we need to have the ability to share data

1 of any type.

2 MS. ASPINALL: That was another theme that I  
3 think actually came out of the last two meetings and has  
4 really moved, at least in the few years since I have been  
5 on the Committee.

6 Genetic exceptionalism really is my last slide  
7 this morning. This is a means to an end and is  
8 important. It needs to be included but not necessarily  
9 completely separate from other information.

10 DR. WILLIAMS: It is now exceptional because it  
11 can't be coded and computed. That is the difference.

12 [Laughter.]

13 MS. ASPINALL: So, get it to the new normal.

14 We have four. Do I have at least general nods  
15 that those are the right four? Are there any  
16 disagreements or shouts? Do you want to throw things?  
17 Did we forget anything?

18 Then the principles. I included that in the  
19 evidence-based, but why don't we separate that, as Rob  
20 said, as a separate piece. What are the principles that  
21 underpin the evidence-based piece.

22 DR. TEUTSCH: In the access to genetics, which

1 evidence is a key component. Barbara.

2 DR. McGRATH: Could someone explain the  
3 difference between what EGAPP does versus the evidence  
4 base? How would this be different than what that group  
5 is doing?

6 DR. ROCHE: I'm sorry to even take the time of  
7 the Committee, but what we are looking at at CMS is the  
8 convergence. EGAPP methods and the ones that Steve will  
9 tell us about in a few seconds very eloquently, are  
10 actually forming the basis for where we see the evidence  
11 that we are going to use for coverage determinations in  
12 the future for screening and diagnostic uses.

13 In a sense, your question is are they  
14 converging. I think the answer is yes. We think they  
15 will. We don't think that they are perfect yet, but we  
16 look forward to them converging.

17 MS. ASPINALL: I think the EGAPP is actually  
18 working on what the standards are. We are talking about  
19 how to get there, but I would imagine we wouldn't get  
20 specific enough to say this number of patients in a  
21 trial, et cetera. They would actually have the literal  
22 standards.

1 DR. TEUTSCH: Let me see if I can take a crack  
2 at this. I sit on these groups frequently and they are  
3 all trying to do slightly different things, which is the  
4 challenge. Part of it is to get to some reasonable  
5 harmonization.

6 EGAPP has specifically looked at how to review  
7 evidence for the use of genomic tests, everything from  
8 prevention on through prediction, prognosis, and  
9 pharmacogenomics, with the idea of making recommendations  
10 for what the clinical evidence is of net benefit. That  
11 is one important use, primarily for providers and  
12 patients.

13 It is informative for coverage decisions and  
14 other kinds of things, but there are lots of different  
15 decision-makers out there who have somewhat different  
16 standards and have different informational needs.

17 What Joanne was describing that AHIC has been  
18 doing is essentially built off the same set of frameworks  
19 as the U.S. Preventive Services Task Force and others,  
20 but the idea was to provide a roadmap primarily for  
21 coverage decisions which should use information from  
22 EGAPP.

1           It was also designed to provide information for  
2 innovators to tell them, what is the roadmap; what are  
3 the benchmarks you have to hit along the way if you are  
4 likely to be successful. There has been a lot of input  
5 within AHIC. Certainly, Aetna and WellPoint have been  
6 critical to those processes.

7           Different groups are using slightly different  
8 things. I think we need to talk a little bit about how  
9 these different things converge, the different uses, and  
10 so forth.

11           EGAPP is your slightly purist U.S. Preventive  
12 Service Task Force, an idealistic version of what we  
13 would like to see, but I think many people would say that  
14 that bar may be too high for others. I say that having  
15 been a party to that.

16           MS. ASPINALL: So if that is where they are,  
17 then we're in the real world, because there is genetics,  
18 health, and society. It is not just academic in doing  
19 that.

20           With that, I think we have isolated five key  
21 areas that will help inform the future task force more  
22 broadly as we move forward, and with the new secretary

1 coming in, we will get some additional clarity from Steve  
2 as to our priorities going forward.

3 Thank you. It was a really terrific day and a  
4 wonderful panel. I very much enjoyed your presentations.  
5 Thank you.

6 [Applause.]

7 **Concluding Remarks**

8 **Steven Teutsch, M.D., M.P.H.**

9 [PowerPoint presentation.]

10 DR. TEUTSCH: Great. Well, first, thank you.  
11 This was a fascinating and rich discussion. I really  
12 appreciate everybody's careful consideration of these  
13 knotty issues. Again, thanks to everybody for a terrific  
14 discussion.

15 I did want to recap a little bit of what we  
16 have done. Of course, we had reports from the agencies  
17 about what they are doing, their current activities, and  
18 some of the issues related to the Recovery Act. We  
19 certainly heard an in-depth presentation from CMS, which  
20 was particularly helpful.

21 Some of the topics that we heard about, I sense  
22 people will want to revisit at a subsequent meeting,

1 particularly some of the issues about the implementation  
2 of GINA. It will be one of the things that we will want  
3 to come back to.

4           We then heard about the consumer-initiated  
5 genomic services. We decided to form a task force to  
6 look at all the recommendations that we currently have  
7 that are germane and put together a summary of those so  
8 that we can take that forward, and to look at some of the  
9 other issues that were raised in the course of our  
10 discussion so that we can see which of those we want to  
11 move forward on.

12           Some of those are here on the slide. We talked  
13 about how does personal utility fit in with the concept  
14 of clinical and public utility, particularly as it  
15 relates to these consumer-initiated tests. We talked  
16 about some of the translational issues to get them into  
17 care. How are we going to take care of the funding of  
18 the information, which ties into what we have talked  
19 about consistently here about evidentiary standards and  
20 getting the information about what really works.

21           We talked about equity issues for the use of  
22 these technologies and then how to monitor and evaluate

1 them. We will have a chance to sort through those issues  
2 and decide how we should move forward. At the next  
3 meeting we will at least have the synthesized  
4 recommendations from the past and some thoughts about  
5 what we need to do further.

6 I think we had an exciting discussion about  
7 informed consent, privacy, and discrimination,  
8 particularly how that relates to new paradigms for  
9 research. We talked about reviewing with the agencies  
10 what they are currently doing and their plans and  
11 coordinating, as we just discussed, with the other  
12 advisory committees to see if there is a need for some  
13 collaboration among us, or to see who is carrying what  
14 part of this forward. It may indeed be one of the new  
15 advisory committees that is just being formed.

16 One of the key issues I think we have to  
17 grapple with, and we touched on it, is the relationship  
18 between the clinical data and how we get that data into  
19 research where you are not going to be involved directly  
20 in interventional studies. That is the kind of thing Sam  
21 was talking about, are there some things that we should  
22 be doing to inform that discussion.

1           We heard from Barbara on education and  
2 training. They will be completing the surveys, but it  
3 was delightful to see that we had some data already.  
4 They will begin writing the report, and we will get  
5 another update at the next meeting and aim to get a draft  
6 report out later in the summer so that we can get it out  
7 for public comment after our October meeting. The goal  
8 is to have a final report in mid 2010.

9           We did have a pretty broad-ranging discussion  
10 concerning the future of the health care system. We  
11 talked a lot about DTC information. I think what we came  
12 to is that [what] we want to talk about are the  
13 principles that need to be in place if we are going to  
14 have access to these technologies, which includes getting  
15 a clear sense of how we are going to go from where we are  
16 now to a real evidence-based roadmap; what are the  
17 evidentiary standards; what needs to be done; and whether  
18 we can actually begin to identify some specific areas  
19 which are prime for doing that.

20           We heard that there may be some specific things  
21 that this committee should try and foster. We can  
22 actually begin to look at whether some of these

1 technologies can lower the cost of health care and what  
2 may be incentives or disincentives to actually developing  
3 and implementing them.

4           Finally, the last thing on here is outlining  
5 the process to make data sharing a priority. That is  
6 back to, how do we use this information to better  
7 understand the real-world effectiveness to that we know  
8 what the real value of all of these things is.

9           We have had a pretty rich discussion. Then we  
10 come to the meeting in June. I think these are the  
11 topics that we will touch on. I would be interested in  
12 your thoughts on these since we haven't really discussed  
13 them systematically.

14           One is the implementation of GINA. It is not  
15 so much the implications of GINA, which it has plenty of.  
16 GINA is here, and we are interested in the  
17 implementation of it.

18           We want to continue the discussion on the  
19 future of the health care system, probably looking at  
20 patient and provider perspectives as well as possibly  
21 some from industry.

22           The Patents Report, as you know, is out for

1 comment. Copies were placed on the table here this  
2 afternoon, for those of you who haven't had a chance to  
3 see the version that went out. We will be having the  
4 public comments back in May. We won't have them fully  
5 digested, but we will probably get a preview in June.

6 MS. ASPINALL: Is it available now?

7 DR. TEUTSCH: That is available online.

8 MS. ASPINALL: It is available for people to  
9 see so they can start the public comments now?

10 DR. TEUTSCH: Absolutely. It's available  
11 online. I think it has been sent out on the listserv.  
12 It is out there, and comments are due by May 15th. So we  
13 will have a brief period of time to see who is responding  
14 and the kinds of comments, but we probably won't have the  
15 kind of detailed analysis that will be in the 400 pages  
16 that Jim is going to be going over.

17 Then, consideration of what we talked about  
18 earlier, the report from the Consumer-Initiated Genomics  
19 Task Force on the recommendations we want to send  
20 forward, as well as how we should proceed. Mara.

21 MS. ASPINALL: Two things. One is, on the  
22 future of health care systems, to be able to add somebody

1 from industry. Then, maybe someone from HHS, and I'm not  
2 quite sure who, could give us an update as to health care  
3 reform at the time.

4 DR. TEUTSCH: Hopefully, we will have the  
5 secretary in place and we will begin to have the kind of  
6 discussion at the next meeting that we really hoped for  
7 here. It looked like it was premature, so we will want  
8 to revisit that. We will have to see how that goes as we  
9 go along.

10 We have the progress report, which hopefully  
11 will find a receptive audience, and we'll be interested  
12 in hearing their priorities so we can shape our work.

13 Let me ask a specific question. Any comments  
14 on the agenda for June? Are there other things that  
15 people feel should be on that agenda? We'll see about  
16 squeezing everything in.

17 [No response.]

18 DR. TEUTSCH: Any other final thoughts?

19 [No response.]

20 DR. TEUTSCH: Let me take this opportunity to  
21 thank the staff, that always does an incredible job of  
22 making this a reality.

1 [Applause.]

2 DR. TEUTSCH: Sarah, Abbe, and all their folks  
3 make all of this possible, as those of us who work with  
4 them know.

5 Thanks to all of you who are active  
6 participants in this process. I think it has been a  
7 productive meeting. Thanks to everyone, and safe  
8 travels.

9 [Whereupon, at 2:31 p.m., the meeting was  
10 adjourned.]

11 + + +

## CERTIFICATION

This is to certify that the attached proceedings

**BEFORE THE: 18th Meeting of the Secretary's Advisory  
Committee on Genetics, Health, and Society  
(SACGHS)**

**HELD: March 12-13, 2009**

were convened as herein appears, and that this is the official transcript thereof for the file of the Department or Commission.

SONIA GONZALEZ, Court Reporter